

PHYSICIAN-FOCUSED PAYMENT MODEL TECHNICAL  
ADVISORY COMMITTEE (PTAC)

+ + + + +

PUBLIC MEETING

+ + + + +

The Great Hall  
The Hubert H. Humphrey Building  
200 Independence Avenue, S.W.  
Washington, D.C. 20201

+ + + + +

Monday, March 11, 2019

PTAC MEMBERS PRESENT

JEFFREY BAILET, MD, Chair  
GRACE TERRELL, MD, MMM, Vice Chair  
PAUL N. CASALE, MD, MPH  
TIM FERRIS, MD, MPH  
RHONDA M. MEDOWS, MD\*  
HAROLD D. MILLER\*  
LEN M. NICHOLS, PhD  
KAVITA PATEL, MD, MSHS  
ANGELO SINOPOLI, MD  
BRUCE STEINWALD, MBA  
JENNIFER WILER, MD, MBA

STAFF PRESENT

ANN PAGE, Acting Designated Federal Officer  
(DFO), Office of the Assistant Secretary  
for Planning and Evaluation (ASPE)  
AUDREY McDOWELL, ASPE  
STEVEN SHEINGOLD, PhD, ASPE

CONTRACTOR STAFF PRESENT

ADELE SHARTZER, PhD, Urban Institute

\*Present via telephone

## A-G-E-N-D-A

Opening Remarks - Chair Bailet .....	4
<b>Deliberation and Voting on Bundled Payment for All Inclusive Outpatient Wound Care Services in Non Hospital Based Setting Proposal submitted by Seha Medical and Wound Care</b>	
PRT: Bruce Steinwald, MBA (Lead), Grace Terrell, MD, MMM, and Angelo Sinopoli, MD Staff Lead: Audrey McDowell	
PTAC Member Disclosures .....	9
Preliminary Review Team (PRT) Report to PTAC - Bruce Steinwald .....	11
Clarifying Questions from PTAC to PRT .....	25
Submitter's Statement - Ikram Farooqi, MD.....	26
Public Comments .....	48
Voting	
- Criterion 1.....	86
- Criterion 2.....	87
- Criterion 3.....	87
- Criterion 4.....	88
- Criterion 5.....	89
- Criterion 6.....	89
- Criterion 7.....	90
- Criterion 8.....	91
- Criterion 9.....	91
- Criterion 10.....	92
- Overall Vote.....	93
Instructions on Report to Secretary .....	93
Adam Boehler, Deputy Administrator and Director of CMMI - Remarks .....	128

**Deliberation and Voting CMS Support of Wound Care in Private Outpatient Therapy Clinics: Measuring the Effectiveness of Physical or Occupational Therapy Intervention as the Primary Means of Managing Wounds in Medicare Recipients Proposal submitted by Upstream Rehabilitation**

PRT: Harold D. Miller (Lead),  
Kavita Patel, MD, MSHS, and  
Bruce Steinwald, MBA  
Staff Lead: Adele Shartzter, PhD

Preliminary Review Team (PRT) Report to PTAC	
- Harold D. Miller.....	137
PTAC Member Disclosures .....	156
Clarifying Questions from PTAC to PRT .....	158
Submitter's Statement	
- Krisi Probert, OTD, OTR/L, CHT, Dave Van Name, Greg Bennett, PT, Stephen Huntsman, PT.....	163
Public Comments .....	196
Voting	
- Criterion 1.....	205
- Criterion 2.....	206
- Criterion 3.....	206
- Criterion 4.....	207
- Criterion 5.....	208
- Criterion 6.....	208
- Criterion 7.....	209
- Criterion 8.....	210
- Criterion 9.....	211
- Criterion 10.....	211
- Overall Vote.....	212
Instructions on Report to Secretary .....	213
Adjourn .....	240

## P-R-O-C-E-E-D-I-N-G-S

9:34 a.m.

1  
2  
3 \* CHAIR BAILLET: All right. We're  
4 going to go ahead and open the meeting  
5 officially.

6 Good morning and welcome, everyone.

7 This is the meeting of the Physician-Focused  
8 Payment Model Technical Advisory Committee,  
9 better known as PTAC. Welcome to the members of  
10 public, the public who is here in attendance  
11 today. We also have the live stream and some  
12 folks on the phone. So thank you all for your  
13 interest in this meeting.

14 PTAC can play an important role in  
15 bringing the voice of the stakeholder community  
16 to Washington as the Department moves forward on  
17 its value-based transformation agenda.

18 To transform the health care system  
19 physicians and other care providers need to be  
20 partners in moving forward. We appreciate the  
21 stakeholder input provided to the PTAC to date  
22 and look forward to continued feedback as we

1 continue our work.

2 We extend a special thank you to  
3 stakeholders who have submitted proposed models,  
4 especially those who are participating in  
5 today's meeting. Stakeholders who submit  
6 proposals to PTAC bring us voices from the field  
7 regarding new models for care delivery and  
8 payment.

9 This is PTAC's seventh public  
10 meeting that includes deliberations and voting  
11 on proposed Medicare physician-focused payment  
12 models submitted by members of the public. At  
13 our last public meeting in December we  
14 deliberated and voted on a proposal called  
15 Making Accountable Sustainable Oncology  
16 Networks, or MASON, submitted by the Innovation  
17 Oncology Business Solutions. Last month we sent  
18 a report containing our comments and  
19 recommendations on the MASON proposal to the  
20 Secretary.

21 Since our last meeting we have also  
22 updated our proposal submission instructions.

1 That document reflects some changes PTAC made  
2 based on public feedback we received last year.

3 It also gives potential submitters a sense of  
4 what to expect after they submit a proposal.

5 In addition, our Preliminary Review  
6 Teams have been working hard to review five  
7 proposals, two of which are scheduled to  
8 deliberate at today's meeting. Both of today's  
9 proposals relate to wound care.

10 To remind the audience the order of  
11 activities for each proposal is as follows:  
12 First, the PTAC members will make disclosures of  
13 any potential conflicts of interest. We will  
14 then announce any Committee members not voting  
15 on a particular proposal. Second, discussions  
16 of each proposal will begin with a presentation  
17 from the Preliminary Review Team, or PRT,  
18 charged with conducting a preliminary review of  
19 the proposal. After the PRT's presentation and  
20 initial questions from PTAC members the  
21 Committee looks forward to hearing comments from  
22 the proposal submitters and the public. The

1 Committee will then deliberate on the proposal.

2

3           As the deliberation concludes, I  
4 will ask the Committee whether they are ready to  
5 vote on the proposal. If the Committee is ready  
6 to vote, each Committee member will vote  
7 electronically on whether the proposal meets  
8 each of the Secretary's 10 criteria. After we  
9 vote on each criteria, we will vote on our  
10 overall recommendation to the Secretary of  
11 Health and Human Services. And finally, I will  
12 ask the PTAC members to provide any specific  
13 guidance to ASPE staff on key comments they  
14 would like to include in the PTAC's report to  
15 the Secretary.

16           As a reminder, as we begin  
17 discussions today on -- relative to the  
18 proposals under consideration, there are a few  
19 points needing to be made.

20           First, if any questions arise about  
21 PTAC, please reach out to staff through the  
22 ptac@hhs.gov email. Again that email address is

1 ptac@hhs.gov. We've established this process in  
2 the interest of consistency in responding to  
3 submitters and members of the public and  
4 appreciate everyone's cooperation in using it.

5 I would also like to underscore that  
6 the PRT Report -- those reports are from three  
7 PTAC members to the full PTAC and do not  
8 represent the consensus or position of the PTAC.

9 PTAC Reports -- PRT Reports are not binding.  
10 The full PTAC may reach different conclusions  
11 and from those contained in the PRT Report, so  
12 they're going to -- they could be different, and  
13 that's happened before.

14 Finally, the PRT Report is not a  
15 report to the Secretary of HHS. After this  
16 meeting PTAC will write a new report that  
17 reflects PTAC's deliberations and discussions  
18 today which will then be sent to the Secretary.

19 PTAC's job is to provide the best  
20 possible comments and recommendations to the  
21 Secretary, and I expect that our discussion  
22 today will accomplish this goal.



1 I would like to thank my PTAC  
2 colleagues all of whom give countless hours to  
3 the careful and expert review of the proposals  
4 we receive.

5 Thank you again for your work and  
6 thanks for the public for participating in  
7 today's meeting in person, via live stream, and  
8 by phone.

9 \* **Bundled Payment for All Inclusive**  
10 **Outpatient Wound Care Services in Non**  
11 **Hospital Based Setting Proposal submitted**  
12 **by Seha Medical and Wound Care**

13 So let's go ahead and get started.  
14 We have one PTAC member, Harold Miller, who is  
15 on the phone. So I just want to make folks  
16 aware of that.

17 The proposal that we're going to  
18 discuss first today is called Bundled Payment  
19 for All Inclusive Outpatient Wound Care Services  
20 in Non Hospital Based Settings. That was  
21 submitted by Seha Medical and Wound Care.

22 \* **PTAC Member Disclosures**

1 I'd like to start the process by  
2 introducing ourselves and then at the same time  
3 read disclosure statements on this proposal.

4 I'll start with myself. Jeff  
5 Bailet. I'm the Executive Vice President of  
6 Blue Shield of California and I have nothing to  
7 disclose.

8 DR. SINOPOLI: Angelo Sinopoli and I  
9 have nothing to disclose.

10 DR. WILER: Jennifer Wiler. Nothing  
11 to disclose.

12 DR. CASALE: Paul Casale. Nothing  
13 to disclose.

14 MR. STEINWALD: Bruce Steinwald.  
15 I'm a health economist in Washington, D.C. I  
16 have nothing to disclose.

17 CHAIR BAILET: Grace?

18 VICE CHAIR TERRELL: Grace Terrell.  
19 Nothing to disclose.

20 DR. NICHOLS: Len Nichols, George  
21 Mason University. Nothing to disclose.

22 DR. PATEL: Kavita Patel. Nothing

1 to disclose.

2 DR. FERRIS: Tim Ferris, Mass.

3 General Hospital. Nothing to disclose.

4 CHAIR BAILET: Harold?

5 MR. MILLER: Hi. Can everybody hear  
6 me? This is Harold Miller, Center for  
7 Healthcare Quality and Payment Reform. Sorry  
8 that an illness has prevented me from being  
9 there in person. And I have nothing to nothing  
10 to disclose.

11 \* **Preliminary Review Team (PRT) Report**  
12 **to PTAC**

13 CHAIR BAILET: Thank you, Harold.

14 I'm going to go ahead and turn it  
15 over to Bruce who was the lead on the PRT  
16 Report.

17 MR. STEINWALD: Thank you, Jeff.

18 I'm the lead on the PRT. The other members of  
19 the PRT are Angelo Sinopoli and Grace Terrell.

20 In the course of my summarizing our  
21 PRT Report I encourage you to jump in at any  
22 time.

1                   Also our principal staff person from  
2 ASPE is Audrey McDowell, who is also at the  
3 table.

4                   The submitter, Dr. Farooqi, I  
5 believe is on the line.

6                   Is that true, Dr. Farooqi?

7                   DR. FAROOQI: Hello. That is  
8 correct. Good morning, everyone.

9                   MR. STEINWALD: Thank you. You will  
10 have an opportunity after the PRT does its  
11 report to address the full PTAC Committee and  
12 respond to its questions. And thank you for  
13 being willing to participate.

14                   Okay. Let's do the first slide.  
15 Okay. That's the proposal. It's already been  
16 described to you. We refer to it as the Seha  
17 proposal.

18                   Next slide. This is the process  
19 that we go through, and I won't go into details  
20 because I think we have done so enough.

21                   Next slide. Do we need to -- well,  
22 we've done this a lot, too, but there are always

1 two or three members of the PRT, one of whom has  
2 to be a physician. We review the proposal, we  
3 give questions and get responses from the  
4 proposer. We've asked our contractor to do some  
5 additional research on wound care, which I'll  
6 get into in a moment. And it's always worth  
7 emphasizing that the PRT Report is a report of  
8 three individuals, not the entire PTAC, and  
9 PTAC, as it has in the past, may come to a  
10 different conclusion than the PRT has.

11 Let's do the overview of the  
12 proposal. In other words, next slide. Dr.  
13 Farooqi has submitted a fairly straightforward  
14 proposal to provide fixed-price reimbursement  
15 per visit for wound care provided in the office  
16 setting. Eligibility would be for patients who  
17 have wound care, needs to be treated. The whole  
18 idea here is to encourage more treatment of  
19 wounds that can be treated in the office setting  
20 to be provided in the office setting instead of  
21 in the hospital outpatient clinic. And by doing  
22 so provide more convenience to patients, lower

1 cost both to the health care system and also a  
2 lower cost to patients who are required to pay  
3 co-payments.

4 Next slide. Dr. Farooqi proposes a  
5 \$400 flat payment per visit for all services  
6 provided with a couple of exceptions, one of  
7 which is hyperbaric oxygen treatments, a fairly  
8 sophisticated service that perhaps needs to be  
9 provided in the hospital outpatient department  
10 and other services that are outside the realm of  
11 wound care such as physical therapy and other  
12 services. He proposes -- there are certain wound  
13 care measures that might be included as -- in  
14 the proposal, although there's not a lot of  
15 specificity as to how they might be.

16 Let's go to the next proposal.  
17 Sorry, next slide. We asked our contractor to  
18 do some preliminary research on the extent and  
19 cost of wound care services in Medicare.  
20 There's more detail on this in the PRT Report.  
21 We did find there are a significant number of  
22 Medicare beneficiaries who are diagnosed with

1 wound care needs, some of which are non-healing  
2 wounds, but we were actually somewhat surprised  
3 to find that three-quarters of those services  
4 that are non-emergent are actually provided in  
5 the office-based setting.

6 It is certainly less expensive to  
7 the Medicare Program for it to be provided in  
8 the office-based settings than in the hospital  
9 outpatient department. We found that the  
10 majority of wound care services provided in the  
11 office setting were provided by podiatrists.  
12 And in the hospital outpatient department  
13 there's a lot of variety in who's actually  
14 providing the services.

15 Next slide. This is a summary of  
16 our evaluation of the 10 criteria most of whom  
17 -- most of which we determined that the proposal  
18 did not meet the criteria. I'll explain why as  
19 we go through them individually.

20 Next slide. Scope. High priority.  
21 Our unanimous conclusion was that this was met.  
22 Our general sense; and this would be a good

1 place for our other members of the PRT who are  
2 physicians, and I am not, to weigh in here, is  
3 that there is a genuine issue that Dr. Farooqi  
4 has raised about how the way that Medicare pays  
5 for wound care services discourages many  
6 physicians from providing services in their  
7 offices.

8 A major part of that is the  
9 difference in reimbursement. And part of what  
10 Dr. Farooqi is proposing is that -- let's in  
11 essence split the difference. Let's pay more in  
12 the office-based setting, encourage more doctors  
13 to provide wound care services in their offices  
14 and it will still wind up being cheaper for both  
15 the Medicare Program and for patients to  
16 encourage more provision in the office setting.

17 And we thought the issue was a genuine one. We  
18 observed that there still are -- a majority of  
19 services are provided in the office setting, but  
20 we decided that it was still significant enough  
21 in scope and there is no other proposal like  
22 this. There's no other model out there for



1 wound care services, so we decided that it met  
2 the criterion.

3 Next slide. However, on the quality  
4 and cost, even though it certainly may be less  
5 costly on a per-visit basis, there's no  
6 constraint on the number of visits. It's a  
7 visit bundle not an episode bundle. We had some  
8 concern that there could be inflation in the  
9 number of visits if there's a \$400 payment per  
10 visit and a lack of assurance that there would  
11 be some cherry-picking of a number of doctors  
12 participating, picking the patients who are less  
13 expensive to care for.

14 Grace and Angelo, any additions,  
15 remember please jump in.

16 Next slide. Payment methodology.  
17 Certainly the simplicity of the model is  
18 appealing and yet we had a problem of justifying  
19 the specific amount of \$400 per visit. And  
20 there's no risk adjustment or anything like  
21 that, no negative consequences for doctors  
22 participating in the model if the costs -- if,

1 for example, the patient is referred on for care  
2 in the hospital, the physicians participating in  
3 the model don't have any negative consequences  
4 of that.

5 Next slide. By the way, I've kept  
6 the slides very succinct. There's a lot more  
7 information, a lot more bullet points on the  
8 individual criteria. But the problem here is  
9 that a per-visit payment system doesn't control  
10 the number of visits.

11 Next slide. We decided it did meet  
12 the condition, the criterion of flexibility  
13 because if indeed it does encourage more office-  
14 based physicians to provide wound care services,  
15 it gives more options for patients to seek care  
16 in either the hospital Outpatient Department or  
17 in the physician's office.

18 Next slide. Although it certainly  
19 could be evaluated, the proposal didn't  
20 articulate a methodology for conducting an  
21 evaluation, and so we thought that it was a bit  
22 too thin on this criterion to say that it meets

1 the criteria, so our judgment was that it  
2 didn't.

3 Next slide. There's no specific  
4 plan for integrating the wound care services  
5 with other services that the patient may  
6 require, and although this certainly could  
7 happen; and Dr. Farooqi may explain why he  
8 thinks it would, there doesn't seem to be a  
9 guarantee or a part of the model that requires  
10 any care coordination for patients with wounds  
11 that need to be treated but also may have other  
12 conditions that need to be treated as well.

13 Next slide. Patient choice. In  
14 large part for the reason I just stated if there  
15 are more physicians providing wound care  
16 services in the office setting, it provides  
17 patients with more choice. This may be  
18 especially important in rural areas where  
19 hospital outpatient services are not as readily  
20 available.

21 Next slide. Patient safety we  
22 decided did not meet the criterion. It's pretty

1 much a fixed price per service without any  
2 genuine assurance that the patients will be  
3 provided the services they need or that the  
4 patients who need to be in the hospital would in  
5 fact be provided their services there if they  
6 participated in the model and they needed to be  
7 transferred to the hospital.

8           Next slide. We decided it did not  
9 meet the health information technology criterion  
10 because there's no real requirement of the use  
11 of health information technology to accentuate  
12 the exchange of information and the other  
13 information needs of the patient and the other  
14 providers of services who are provided services  
15 in the hospital -- in the physician office. No  
16 guaranteed exchange of information.

17           So those are the 10 criteria. Just  
18 to generally summarize, I'm not going to  
19 summarize the extent of the proposal, but what I  
20 am going to say is if Bob Berenson were sitting  
21 at his chair over next to Kavita and Tim, he  
22 might be at this point standing on his chair

1 saying isn't this a case where we should be --  
2 if there's a problem, the problem is with the  
3 fee schedule, not necessarily the lack of a  
4 model to pay for wound care services? I'm not  
5 asserting that, but I am saying that's a topic  
6 that's worthy of discussion.

7 And another issue is a more general  
8 one of Medicare payment. Site of service,  
9 neutrality. I mean, it's an issue that goes far  
10 beyond just wound care services. And if we'd  
11 like to think of this as a special case of a  
12 site-of- care problem, it's actually a much  
13 bigger problem than just wound care and we might  
14 want to discuss it at some point in that context  
15 of being site-of-care issue, not just a wound  
16 care issue.

17 All right. I am finished with my  
18 summary. Please, Grace and Angelo?

19 VICE CHAIR TERRELL: You did a great  
20 job summarizing I think the PRT's thinking on  
21 this as it's reflected in our report.

22 There are a couple of things that I

1 think might be useful and one is we're going to  
2 get a different type of wound care proposal  
3 later this morning, and this is not deliberation  
4 about that, but there are certain themes that  
5 are being brought up that are slightly  
6 different, so it might be good to articulate how  
7 this is different in a broader sense. So you're  
8 exactly right, this one is about site-of-service  
9 differential and how that potentially impacts  
10 the delivery of care.

11 And the other one may be about that  
12 with respect to -- not the site-of-service, but  
13 the type of people who would provide certain  
14 care services. So I think it's important as  
15 we're thinking about this one as -- possibly as  
16 we deliberate independently on the other one to  
17 understand exactly what the problem is from the  
18 perspective of the proposer.

19 A larger point though is that when  
20 you start seeing the same thing over and over  
21 again as a theme to the PRT that probably means  
22 that many people are being very thoughtful about

1 something that is a real problem. And we've  
2 seen that now in several respects. We've seen  
3 it with respect to the provision of primary care  
4 where we had several proposals and where I think  
5 there's some more coming. We have seen that  
6 with respect to services such as nursing home or  
7 hospital at home or other things that may be  
8 further provided outside our traditional health  
9 care system. We've certainly seen it in  
10 oncology where we've had from two points of  
11 view, two very thoughtful perspectives. And  
12 today we're seeing it with respect to wound  
13 care.

14 So as we're deliberating we have to  
15 be very specific about the merits of this, but I  
16 think that this is an opportunity for the  
17 Committee and for the Secretary in general to  
18 say why do certain themes keep coming up over  
19 and over again? It probably means there's  
20 something that many people see as a problem and  
21 we ought to pay attention to it.

22 And the -- with respect to this

1 specific proposal, even though we got  
2 exceptionally good research done by our  
3 contractors, there was really to my mind a  
4 fairly limited amount of information we had to  
5 dig into it. We were surprised to discover that  
6 75 percent of the actual provision of wound care  
7 was from -- was in the clinic setting, but we  
8 couldn't distinguish what was different about  
9 that which was provided in the outpatient  
10 hospital facilities versus that that was in the  
11 office-based setting.

12           Having provided wound care as a  
13 primary care physician in both the nursing home  
14 setting as well as an office setting and having  
15 led a multi-specialty group, one of the very  
16 first things we looked at when we started going  
17 down the ACO value route was where our wound  
18 care services were being provided. It is likely  
19 that having better data over time will help us  
20 figure out in more detail how we can better  
21 evaluate this, but some of these questions that  
22 are being identified in the -- by the



1 stakeholders in the communities getting  
2 underneath the data to understand the scope of  
3 the problem and what they're seeing is a little  
4 bit difficult even though we had exceptionally  
5 good research.

6 So I'm hoping that that will be  
7 useful in our discussion not only as we're  
8 dealing with the particulars of this, but as  
9 we're thinking in general about how we ought to  
10 approach themes that come over and over again.  
11 It usually means that there's a real problem.

12 \* **Clarifying Questions from PTAC to**  
13 **PRT**

14 MR. STEINWALD: Thank you, Grace.  
15 Questions from PTAC members for  
16 clarification?

17 (No audible response.)

18 CHAIR BAILET: All right. I think  
19 it's time to invite the submitters up to the  
20 table. And I --

21 MR. STEINWALD: He's --

22 CHAIR BAILET: -- think he's on the

1 phone.

2 MR. STEINWALD: Virtual table.

3 CHAIR BAILET: Virtually coming to  
4 the table.

5 MR. STEINWALD: So, Dr. Farooqi, you  
6 have -- how many minutes for --

7 CHAIR BAILET: Ten.

8 MR. STEINWALD: -- Ten minutes to  
9 address the Committee and then Committee members  
10 may have questions for you after that.

11 CHAIR BAILET: Thank you, Bruce.

12 Dr. Farooqi, welcome.

13 \* **Submitter's Statement**

14 DR. FAROOQI: Thank you. Good  
15 morning, everyone. So number one, I would to  
16 thank the PTAC Committee members for considering  
17 and reviewing this proposal, and also the staff  
18 members people who send out the emails, who do  
19 the phone calls, who put everything together.  
20 My interaction has been very, I'd say,  
21 pleasurable and it looks like it's a very well-  
22 run program.

1                   Okay. So I have been providing  
2 wound care, as I have put it in the proposal,  
3 for about 15 years, mostly to elderly people.  
4 Having a geriatric background that was the  
5 reason for starting the wound clinic, because at  
6 that time there were not many people providing  
7 this type of care in this area. So over the  
8 years I have learned a few -- or rather many  
9 issues that come trying to provide a good  
10 quality care in an independent setting aside  
11 from the hospital.

12                   So the proposal was in response to  
13 those shortcomings in the system and limitations  
14 and difficulties. I do realize some of the  
15 weaknesses that have been pointed out in the  
16 system. One of the explanations is it's a  
17 limited resource in terms of time and otherwise,  
18 so this was a preliminary proposal that I could  
19 put forward.

20                   One of the main reasons, and I think  
21 I have had some success, is trying to bring to  
22 light the different policies that make it

1 difficult to provide the care that is needed as  
2 well as prevent some of the recurrences. So  
3 that's why there was multiple times emphasis in  
4 my proposal about the different -- the LCDs or  
5 local coverage determinations, the global  
6 periods, periods which makes it harder to  
7 provide certain services or just basically eat  
8 up the cost if you do it.

9           The others are preventive services  
10 which mean, again, not directly in the proposal,  
11 but I'm just going to quickly say two points.  
12 One is pressure ulcers, as you've done your  
13 research, and there are charts that show the  
14 cost of different ulcers. Pressure ulcers are  
15 very costly and they can also lead to death.  
16 And I have seen it myself.

17           The reason people have pressure  
18 ulcers is because they are not able to move.  
19 They are constantly in the same position,  
20 especially the elderly people. So if they are  
21 in a nursing home or in a hospital, there is  
22 somebody who can change the position. But even

1 at the nursing home or especially at home it  
2 becomes difficult. So the way around it, you  
3 get special mattresses. They are air  
4 mattresses. There are two types. One in which  
5 just the air is blown. The other is like an egg  
6 crate where the pressure changes in different  
7 cells of the mattress. It's called low air loss  
8 mattress with alternating pressure.

9           So if somebody has ulcer at stage 3  
10 or 4, which is it's gone too deep like muscle or  
11 bone level, the horse is already out of the barn  
12 and the cost increases. So the best thing would  
13 be to prevent it and put a mattress and other  
14 services to prevent to get to that state, but  
15 Medicare policy does not allow an air loss  
16 mattress unless there is a stage 3 or a stage 4  
17 ulcer or multiple stage 2 ulcers. Doesn't make  
18 sense. To some degree, maybe it's a stretch,  
19 will be the example of telling people we'll  
20 allow colonoscopy when it's a stage 3 and a  
21 stage 4 cancer. So that's one.

22           The second in my current practice

1 the example would be compression stockings. So  
2 to prevent the recurrences it's recommended for  
3 people to wear compression stockings. The  
4 Medicare guidelines do not allow compression  
5 stockings unless there is an ulcer present, but  
6 by the time the ulcer is present it's late and  
7 typically you need -- a person needs compression  
8 bandaging and a whole lot of treatment.

9 Second, Medicare only allows 30 to  
10 40 millimeters of mercury. I'm not sure if  
11 anyone there has tried that kind of compression  
12 stocking. I'm pretty healthy person. It's not  
13 easy for me to put them on, let alone the 80-  
14 year-old people who are -- who have arthritis,  
15 poor dexterity, they cannot bend over, they  
16 cannot -- they don't have enough strength to  
17 pull that kind of tight stocking on their legs,  
18 which they don't need anyways. About 20  
19 millimeters of mercury is sufficient to keep  
20 something under control and something that they  
21 can actually practically do.

22 So they -- we end up sending them to

1 pharmacies, buy something over the counter which  
2 may or may not work. Some of the points in the  
3 proposal are related to those issues.

4 I will -- and then there is  
5 definitely a question about per-visit, a  
6 justification versus a bundled payment. So the  
7 per-visit, again due to limited resources and  
8 going through the literature trying to figure  
9 out how much actually it costs Medicare and then  
10 practically looking at a couple of bills that my  
11 patients were able to provide me when they were  
12 going to a hospital-based wound clinic. And  
13 those bills ranged anywhere from -- the  
14 payments, not the bills. The bills they can  
15 charge anything they want. The payments ranged  
16 anywhere from \$700 to \$1,400 per visit.

17 Total cost, in the literature that  
18 at least I searched, on an average wound care  
19 was about \$5,000, anywhere from \$5,000 to \$5,600  
20 to \$7,000. So that's how -- and the average time  
21 to heal is anywhere from 10 to 16 weeks. The  
22 mean would be 12 to 13 weeks. So that's how the

1 proposal for \$400 a visit was reached, that it  
2 would give at least 20 percent savings for the  
3 total healing of the wound.

4 Now the bundle -- the problem with  
5 the bundled treatment sort of payment is, say,  
6 on the average it costs \$4,000 to heal a wound  
7 in terms of total number of visits whether the  
8 person is going to the hospital or coming to an  
9 independent provider. A lot of times, at least  
10 in my practice, I see people coming again. They  
11 come with a right leg wound, or it could be  
12 venous ulcer, could -- something -- they fell,  
13 something fell on them. They heal. They go  
14 back. Three months later, two months later  
15 something else happens.

16 A lot of trauma wounds are easy to  
17 heal because with the treatments they could heal  
18 anywhere from four, five visits to 10 visits.  
19 The treatments are relatively simple. Each  
20 time they come in it is a new episode, so that  
21 means each time the physician is getting a full  
22 payment of -- it has to be an average payment



1 that takes to heal the wound, which would be in  
2 thousands of dollars. So the total cost at the  
3 end of the year may be more.

4           So from that perspective my feeling  
5 was a per-visit cost will be more cost saving  
6 compared to a full bundle payment every time a  
7 person walks in. And there are not a lot, but a  
8 good number of people who have recurrences,  
9 either same ulcer, which would probably be  
10 covered, but then they have ulcers coming in  
11 different area. They fall. They have arm skin  
12 that's soft. Their leg has skin that's soft.  
13 They walk into dishwashers or car doors and all  
14 that. So then every time Medicare is paying a  
15 full amount which could be much larger than  
16 really needed.

17           So then there is question of limit  
18 on the number of visits. So this is tricky, but  
19 my -- if a bundled payment is being made and  
20 there is in the -- if the Medicare is told that  
21 the average number should be say 12 or 14  
22 visits, after visit it does -- or it will

1        somehow trigger that and a person is going there  
2        too much.

3                    In the current system there is no  
4        limit. So my example would be somebody walks in  
5        with a venous ulcer and say it takes 10 visits  
6        or 10 weeks to heal it. Under the proposal it's  
7        \$4,000. If the same person goes to a hospital-  
8        based clinic and it takes 10 weeks or 10 visits  
9        to heal, it's not less than \$4,000. It's at  
10       least \$4,500 onwards, but there is no upper  
11       limit there. In this system there is an upper  
12       limit there. In that system there is no upper  
13       limit there.

14                    And if you go to wound conferences,  
15        and from what I see there -- the management  
16        companies are revenue-based. They need to  
17        maximize their revenue. That's why they're  
18        coming and managing for more or less free a  
19        wound clinic in a hospital. So there is  
20        definitely encouragement of utilization of more  
21        resources, which is what we are trying to limit  
22        here.

1                   There was a question about severity  
2                   and complexity in the payment model. Those  
3                   indexes will probably have to be developed.  
4                   There are not many indexes available. One of  
5                   the criticisms about this is cherry-picking  
6                   which has come up a few times. It is -- my  
7                   example would be concierge practice. A lot of  
8                   people are already doing concierge practices.  
9                   So that is cherry-picking.

10                   But the problem is especially in  
11                   smaller towns, especially in rural areas. If  
12                   the person walks in, they cannot be turned away.  
13                   So cherry-picking becomes less of a relevant  
14                   issue. In my own practice until the person is  
15                   seen in the clinic, it's not -- it's difficult  
16                   to know how extensive a wound is or how  
17                   extensive a problem is. Sometimes the wound  
18                   could be just a centimeter by centimeter but it  
19                   turns out to be a pyoderma or something much  
20                   more complicated. So unless you see it you  
21                   cannot deny a person or turn them away just on  
22                   the phone.

1 CHAIR BAILET: Dr. Farooqi?

2 DR. FAROOQI: Yes?

3 CHAIR BAILET: Are you wrapping up  
4 your comments?

5 DR. FAROOQI: Yes, I am wrapping up.

6 So again, this was an attempt to bring the  
7 issues on the ground. And like you said, I see  
8 the issues and the weaknesses in the program,  
9 but I think it's -- at least in some way it's  
10 successful to bring it to CMS. I have I think  
11 in one of the summaries one of the lines says  
12 that this could be brought to local CMS to  
13 resolve some of these guidelines, LCDs and  
14 global payment issues. I actually tried to  
15 reach out to our local contractor when I made a  
16 phone call to who to write the letter. I was  
17 told the name of the medical director is not  
18 publicly disclosed. I could not have the name  
19 or the address to address the letter and the  
20 issues to. So that is not easy either.

21 So, but in the end I would again  
22 thank the members for considering this proposal

1 and hopefully something good will come out.

2 CHAIR BAILLET: Dr. Farooqi, thank  
3 you. Compliment you for your efforts and  
4 submitting this proposal and working with the  
5 PRT Committee to get us to this point and  
6 bringing this issue forward. You're not alone  
7 obviously, because as it was already mentioned,  
8 there's another wound care proposal in the queue  
9 that we're going to deliberate on after yours.

10 I would like to open it up to the  
11 Committee members to ask Dr. Farooqi any  
12 questions based on his comments and thoughts.

13 Kavita?

14 DR. PATEL: So, Dr. Farooqi, thanks  
15 for kind of going through kind of your logic.  
16 Can I ask a question building off of what Bruce  
17 and it sounds like the Preliminary Review Team  
18 -- this is Kavita Patel since you're on the  
19 phone. It feels like there -- just explain to  
20 me because it feels like what really motivated  
21 you to put this proposal in was something that a  
22 lot of us who are clinically-oriented see, which

1 is a lack of getting to wound care kind of early  
2 enough or having wound care be involved in a  
3 sustained way. And part of this problem is that  
4 you're operating literally and figuratively in a  
5 very distinctly different setting than  
6 potentially the people who might refer you these  
7 patients or the settings in which the patient  
8 finds themselves like the emergency room, the  
9 inpatient setting, or even a primary care  
10 office.

11           How much of this is really the lack  
12 of going -- without confusing it with the name  
13 of the second proposal -- upstream, so getting  
14 to the patient earlier versus some of what you  
15 described where you're trying to -- it sounded  
16 like you're actually trying to calculate a 20  
17 percent savings to the Medicare Program, but I  
18 think what's hard for me personally is that it  
19 doesn't feel like -- it feels like just adding  
20 dollars by having a per-visit fixed dollar  
21 amount doesn't actually solve the problem you're  
22 trying to address.

1 DR. FAROOQI: So there are two  
2 parts. One is there is a financial problem  
3 because as I explain in the proposal, if  
4 somebody goes to hospital -- so if somebody  
5 comes with a lower extremity or a leg ulcer due  
6 to venous disease or even due to trauma, they  
7 develop swelling and the swelling prevents the  
8 wound from healing, they have go to ER. They do  
9 a nice job trying to stitch it up, everything,  
10 but then the leg swells up as an inflammatory  
11 response or whatever reason and it just opens  
12 up. So you -- so we need to do a compression.

13 Now here's the problem: If I see  
14 the person, I do the dressing and under the  
15 Medicare current guidelines I can debride the  
16 wound or do the treatment, but they will not pay  
17 for me for the compression. If I put the  
18 compression on, I can only charge for the  
19 compression. I cannot charge for anything else.

20 I can charge for only doing one thing at a  
21 time, which means basically -- I'm trying to do  
22 good quality care, so I'm basically eating up

1 the cost. So that's one.

2 And then there is definitely  
3 prevention. As the PTAC members did a review on  
4 literature search themselves, one of the  
5 articles does talk about lack of education and  
6 lack of training or awareness. Some of the  
7 wounds we see in every wound clinic are due to  
8 lack of awareness.

9 In metropolitan area like Boston  
10 it's -- there are many wound clinics, there are  
11 many specialists, but this becomes more  
12 important in smaller towns and rural or semi-  
13 rural areas where it's convenient for patients  
14 to go to their physician and some incentive for  
15 the physician to be able to provide the  
16 services. Otherwise, people will just send them  
17 somewhere else. I'm not sure if it answers your  
18 question.

19 DR. PATEL: No, that's fine. Thank  
20 you.

21 CHAIR BAILET: Jen?

22 DR. WILER: Dr. Farooqi, Dr. Wiler.



1       One of -- I have two questions for you: The  
2       first is one of the criterion we will be asked  
3       to look at is scope. So it's unclear to me  
4       after reading the proposal, how many providers  
5       and what type of providers would be eligible in  
6       this payment model? I saw specifically you  
7       described outpatient wound care clinic providers  
8       with a recommendation of two years of  
9       experience, but could you clarify who would be  
10      eligible?

11                   DR. FAROOQI: Yes, so as I was doing  
12      my research before writing the proposal, there  
13      are a whole number of family practice and some  
14      internal medicine physicians who do provide the  
15      wound care in their office setting for various  
16      reasons. One, if there is no hospital-based  
17      wound clinic in the area, they have to do it, or  
18      the hospital is not interested in opening a  
19      wound clinic, they have to do it, or simply the  
20      patients prefer to go to their primary care  
21      physician. So it will be an incentive and those  
22      people would be included in this proposal.

1                   And then I have a full-fledged  
2 freestanding wound clinic. If somebody is  
3 interested in narrowing down and just doing the  
4 wound care to meet the needs of their  
5 communities, those will be included.

6                   DR. WILER: Thank you. My next  
7 question is as I read your proposal there is no  
8 -- you describe the importance of providing  
9 high-quality care to these patients, but in the  
10 model proposal there's no description of risk to  
11 the provider based on the quality measures that  
12 you have described, is that correct?

13                   DR. FAROOQI: That is -- yes, that  
14 is correct. Well, so, I am trying to compare it  
15 with the current system in which I think one of  
16 the weaknesses of the program is somebody goes  
17 to the hospital, then -- and then comes back,  
18 then the program just picks it up again and  
19 there is no negative consequences.

20                   It's -- in terms of risk, if the  
21 plan takes full consequence of everything  
22 including a hospital admission, then the cost

1 will simply not be worth it to do this proposal.

2 And then my comparison is with the current  
3 system in which when people are going to say a  
4 hospital-based wound clinic and appropriate care  
5 is not provided, they end up in the hospital.  
6 They go back once they're discharged and restart  
7 where they left off.

8 So again, here at least there is a  
9 limit, upper limit to how much that can be paid  
10 and there will be -- the number of visits will  
11 after a certain point should or will trigger why  
12 the person keeps going there versus the current  
13 system where there is no limit, upper limit to  
14 how much is paid and upper limit to how many  
15 visits.

16 CHAIR BAILET: Thank you.

17 Tim?

18 DR. FERRIS: Good morning. Thanks  
19 for doing the work on submitting this proposal.

20 This is going to be a slightly long question,  
21 but I think it builds off of what Kavita was  
22 asking but maybe using some different terms.

1           So the way I read your proposal, I  
2 see this as primarily a proposal to try to  
3 improve access to services. On this committee  
4 we have to consider at least three things  
5 conceptually: access, quality and cost. And I  
6 think what you're hearing is questions related  
7 to the other two elements of that triad: quality  
8 and cost, and trying to figure out how this  
9 improved access to care for patients who could  
10 benefit from it squares with the quality and  
11 cost problem. And I'm going to -- the specific  
12 question I have is related to incentives for  
13 referral.

14           So wound care is a classic situation  
15 where the vast majority of patients can be  
16 handled by a simple set of interventions, but in  
17 fact some patients need extreme interventions  
18 including for example lower extremity re-  
19 vascularization. That is not uncommon in the  
20 context of wound healing in the lower  
21 extremities. And that's a very expensive, very  
22 high-end procedure.

1           So you have a whole set across a  
2 continuum. And what your proposal is addressing  
3 is a very specific set on the lower end of that,  
4 decreasing costs and improving access at the  
5 lower end, but I'm still concerned along the  
6 line that Kavita was asking about barriers to  
7 referral when it's appropriate to refer. And  
8 specifically, if one were to create a bundled  
9 payment where everyone on the care team was part  
10 -- was contracted as part of that bundle, then  
11 there would be no financial disincentives for  
12 referral. But I -- the way this -- your  
13 proposal isolates a certain fraction of those  
14 patients without any a priori knowledge of  
15 whether or not they would end up needing a big  
16 procedure.

17           Does your proposal then -- how is --  
18 how does your proposal either enhance or is  
19 impeded by the financial framework for referral  
20 to doctors who take care of more severe ulcers?

21           DR. FAROOQI: Part of the reason to  
22 keep it simple is participation and not to

1       overload people or burden people with too much  
2       work. That's one thing.

3               Second, the example you cited, some  
4       people do need extensive procedures because  
5       wound is a mere symptom or presentation of the  
6       underlying disorder. For example, neuropathy  
7       with diabetes, arterial disease or some other  
8       issue going on.

9               So once the person comes in, they do  
10       have to be referred to the specialist, as you  
11       cited, either to have a vascular intervention,  
12       whether venous or arterial, have to be seen by  
13       endocrinologist or primary care or the wound  
14       physician has to work with them to control the  
15       blood sugar because it's been cited in the  
16       literature blood sugar greater than 200 slows or  
17       prevents the wound from healing and similar  
18       issues.

19               So I personally -- and then if I  
20       keep the person who has an arterial disease for  
21       the sake of bringing him in for getting \$400  
22       every visit, this plus much more could be lost

1       once the person has to lose the foot or the leg  
2       and takes me to the court.

3               So, and then so there is clinical  
4       practice that when we see -- which happens  
5       everywhere -- when you see a problem that needs  
6       a specialist's attention, you simply send them  
7       there. So -- to the specialist like a vascular  
8       surgeon or somebody else. I don't see why this  
9       could be a hindrance to sending the people to  
10      the specialist for a specialist's help.

11              The cost of seeing the specialist,  
12      again if we're going -- if we have a proposal  
13      which takes on everything, then the cost and the  
14      work would spiral so much out of control that it  
15      will not be -- we will not simply be able to  
16      implement anything.

17              So that's the reason for keeping it  
18      simple, but I do not see why patients could not  
19      be referred to specialists when they need a  
20      specialist's services.

21              CHAIR BAILLET: Thank you.

22              Do we have any other questions for

1 Dr. Farooqi from the Committee?

2 (No audible response.)

3 \* **Public Comments**

4 CHAIR BAILET: Seeing none, the next  
5 part of our process is to get public comments.  
6 We have three folks who are registered. Dr.  
7 Christopher Pittman who's a board member of the  
8 American Vein and Lymphatic Society. He's on  
9 the phone. I'll turn it over to him.

10 DR. PITTMAN: Good morning,  
11 everyone. I'm just walking out of a patient  
12 room.

13 This is Dr. Chris Pittman from  
14 Tampa, Florida. Can everybody hear me?

15 CHAIR BAILET: Yes.

16 DR. PITTMAN: Awesome. I'm an  
17 interventional radiologist by training. I  
18 practice in my own office-based clinic and I'm  
19 devoted 100 percent to venous and lymphatic  
20 medicine. I'm board-certified in both  
21 diagnostic radiology and interventional  
22 radiology and I'm a diplomat at the American



1 Board of Venous and Lymphatic Medicine. I'm  
2 also a board member and chair of the Health Care  
3 Advocacy Committee of the American Vein and  
4 Lymphatic Society. The AVLS is approximately a  
5 2,000-member professional society dedicated to  
6 advocacy, research and education in vein and  
7 lymphatic medicine.

8 I have no relevant conflict of  
9 interest; however, I wish to declare that I am  
10 on the Scientific Advisory Board of Tactile  
11 Medical, a company that develops at-home therapy  
12 devices that treat lymphedema and chronic venous  
13 insufficiency.

14 I echo the issues raised by the  
15 Preliminary Review Team, but I want to commend  
16 the applicant for initiating a very important  
17 discussion about wound care. I am sharing just  
18 two key points to underscore how important  
19 venous disease is in the clinical care of most  
20 wound patients.

21 Key point No. 1, venous leg ulcers  
22 are statistically the leading cause of a non-

1 healing wound. Chronic venous disease impacts  
2 up to 40 percent of the population and up to  
3 four percent of patients 65 and over will suffer  
4 from venous leg ulceration. Venous ulcers alone  
5 consume nearly two percent of the total health  
6 care budget in developed countries. Venous leg  
7 ulcers in the United States are a \$15 billion a  
8 year public and private payer burden. To put  
9 this in perspective diabetic foot ulcers are  
10 only approximately a 10 billion a year burden  
11 because the prevalence of venous disease is much  
12 higher than diabetes.

13 Venous leg ulcer patients make up  
14 the majority of patients in wound care centers,  
15 however, the recurrence rate of venous leg  
16 ulcers without venous intervention is shown to  
17 approximate 30 percent per year even under the  
18 best medical management. Leg ulcer patients in  
19 wound care centers are often not properly  
20 screened for venous disease even though venous  
21 disease is statistically the leading cause of  
22 leg ulcers.

1                   Key point No. 2 and I'll wrap up. A  
2                   landmark *New England Journal of Medicine* study  
3                   entitled, "A Randomized Trial of Early  
4                   Endovenous Ablation and Venous Ulceration,"  
5                   published May 2018, concluded what every  
6                   experienced vein care physician has understood  
7                   for more than a decade, and I quote: Venous  
8                   disease is the most common cause of leg  
9                   ulceration. Although compression therapy  
10                  improves venous ulcer healing, it does not treat  
11                  the underlying causes of venous hypertension.  
12                  Pathways of care for leg ulcers in general do  
13                  not include a provision for early assessment and  
14                  treatment of superficial venous reflux. The  
15                  lack of standardized models of care for leg  
16                  ulcers and the involvement of a range of  
17                  specialists may contribute to the inconsistent  
18                  care delivered.

19                         The one-line conclusion from this  
20                         study reads, and I quote: Early endovenous  
21                         ablation of superficial venous reflux resulted  
22                         in faster healing of venous leg ulcers and more

1 time free from ulcers than deferred endovenous  
2 ablation.

3 Forgive the analogy, but when a vein  
4 physician eliminates a leak in the venous  
5 plumbing, the hole in the skin drywall will  
6 heal. For venous leg ulcer patients who are  
7 properly referred for vein care leg wounds heal  
8 in weeks instead of months or years. I'd also  
9 like to highlight that these venous procedures  
10 are outpatient office-based procedures.

11 On behalf of the American Vein and  
12 Lymphatic Society I thank the PTAC for the  
13 opportunity to comment and our society is  
14 pleased to be of assistance to the applicant or  
15 the PTAC for further detailed discussion. Thank  
16 you for your attention.

17 CHAIR BAILET: Thank you, Dr.  
18 Pittman. Appreciate your comments.

19 Dr. Helen Gelly, HyperbaRxs. She's  
20 here in person.

21 DR. GELLY: Thank you. I would like  
22 to thank the members of the PTAC for examining

1 this issue and for allowing me to comment.

2 As a bit of background I have been  
3 practicing wound care and hyperbaric oxygen  
4 therapy in office since 1993. I am one of the  
5 founding fellows of the American College of  
6 Wound Care Specialists. So I've been doing this  
7 for a very long time.

8 A review of the quantitative  
9 analysis shows that the patients seen for wound  
10 diagnoses are more than twice as likely to have  
11 diabetes, heart failure, peripheral vascular  
12 disease, and in fact all comorbidities are more  
13 common. This identical patient profile exists  
14 in my aggregate report. So when you look at my  
15 HCC score, which is about 2.8, it puts me in a  
16 category where I'm treating patients that are  
17 significantly more complicated and complex than  
18 anyone except someone doing critical care and  
19 nephrology and infectious disease. So it puts  
20 me at least in the top 10.

21 Podiatry being seen as the primary  
22 deliverer of office-based wound care actually

1 only limits these wounds to below the knee and  
2 in some states below the ankle. So I think that  
3 although this is probably true looking at the  
4 numbers, the body doesn't end at the knee and so  
5 wounds are present everywhere.

6 Wound care has evolved since 1993  
7 when wet to dry dressings were the standard of  
8 care. Currently maintaining a moist wound  
9 environment has become more costly as dressings  
10 and new products have been designed to create  
11 that environment. However, practice expenses as  
12 calculated by the AMA RUC have not kept up.

13 One question that was raised was  
14 whether or not we cherry pick patients. Well, I  
15 can tell you that in a private practice if I say  
16 no once, that referring physician will never  
17 call again. And I think that that's validated  
18 by my HCC score.

19 With my limited time I would like to  
20 offer some recommendations because I think that  
21 this is worthy of further discussion. As  
22 presented in this bundled model, it's not fully

1 explored to take into consideration all of the  
2 aspects that need to be integrated. For  
3 example, I would recommend removing the NCC  
4 edits that CMS has in place. As Dr. Farooqi  
5 mentioned, if I do a debridement I cannot put on  
6 a compression dressing, however, compression is  
7 the standard of care. So CMS is putting me in a  
8 quandary. Do I do one, do I do another, or do I  
9 ask the patient to come back for a second visit  
10 on the next day, which would be inappropriate on  
11 multiple levels.

12 They should also allow physicians to  
13 charge DME rates for the products that are used  
14 to maximize the moist wound environment, thus  
15 reducing the need for daily dressing changes.

16 In this proposal he has included  
17 CTPs. In my opinion those would need to be  
18 separate because CTPs are not appropriate for  
19 every wound care patient and should be applied  
20 towards the end of the wound care encounter and  
21 variably cannot be factored in over a 12- or a  
22 16-week period of time.

1                   That also brings up his reference to  
2                   the U.S. Wound Registry. There the average  
3                   patient stays in service seven months. And  
4                   since the U.S. Wound Registry looks at  
5                   predominantly hospital-based outpatient  
6                   departments, although we also participate in  
7                   that wound registry, seven months is really what  
8                   we're looking at, not 14 weeks or four months.  
9                   So this makes it very challenging to identify  
10                  how we should make an average patient be put in  
11                  one category of the length of time in service.

12                  The other question of referral bias  
13                  which was brought up would be addressed by using  
14                  quality measures which physicians do do  
15                  reporting for, and within the U.S. Wound  
16                  Registry quality measures include appropriate  
17                  referral for compression at every visit for a  
18                  wound care patient that has venous stasis  
19                  disease. It also includes vascular assessment  
20                  and potential interventions for patients who  
21                  have lower extremity ulcers including venous  
22                  ulcers and diabetic foot ulcers, and the list



1 goes on. So there are quality measures that can  
2 be utilized which currently exist and are  
3 approved by CMS to be able to factor in whether  
4 physicians are appropriately utilizing the  
5 referrals that are necessary to get the patients  
6 healed.

7 And then the other question -- oh,  
8 excuse me, the other point I'd like to bring up  
9 is that the current ICD-10 codes are not helpful  
10 in identifying multiple wounds in one patient in  
11 the same anatomic area. And this is not  
12 uncommon in the area of venous ulcers where  
13 there might be multiple areas where one may be  
14 treated for a certain period of time, but then  
15 it kind of gets confused as to if someone then  
16 has a traumatic ulcer or a traumatic wound on  
17 the same extremity. You cannot really  
18 differentiate that.

19 And that's a coding problem that I  
20 don't think that we can resolve here. But it  
21 will be increasingly important in chronic elder  
22 care that we address this issue because it's not

1 just a matter of increased cost. It also is a  
2 matter of increased availability. And what we  
3 haven't addressed here because we're talking  
4 about traditional Medicare is that many of our  
5 patients are now in Medicare Advantage Plans and  
6 the actual cost to the patient is increasing  
7 because they have out-of-pocket costs of \$6,000  
8 to \$7,000, which can easily be eaten up by a  
9 number of hospital outpatient department visits.

10 So I would like to thank PTAC for  
11 looking at this as a topic of interest, and if  
12 anyone has any questions I would love to be a  
13 resource for you all in your plans to expand or  
14 look at this in other applications. Thank you.

15 CHAIR BAILLET: Thank you, Dr. Gelly.

16 Louis Savant, Director for Osiris  
17 Therapeutics? Thank you.

18 MR. SAVANT: All right. Thank you  
19 and thank the -- I'd like to thank the Committee  
20 for allowing public comments and to -- as Helen  
21 said, to address this issue of wound care, is  
22 really important. We just have a few comments.

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

Number one is we concur with most of the comments that the Committee had regarding the proposal.

The main comment that we would like to make is just to emphasize what's already been said, and that is that wound care is a very complex specialty and it's not treated as a specialty very often. We have cancer specialists, we have rheumatology. There's specialties for everything but wound care is one of those specialties where we don't have a true specialist. And because of that, the wound care itself often doesn't get treated like a specialty. So we would encourage the Committee and CMS to continue to explore wound care and continue to look at this very closely.

The final comment is just that what Dr. Farooqi is saying regarding standard of care. Standard of care continues to evolve and change and the payment methodologies often restrict doctors from what they can do.

1                   Our company, Osiris, we've been  
2                   around for 26 years researching cellular and  
3                   tissue-based products. That's what our company  
4                   does. And so we offer one of those advanced  
5                   therapies. And in the course of our research  
6                   it's become obvious that it's an adjunct to good  
7                   standard of care. And when wound care  
8                   specialists are restricted due to payment or  
9                   guidelines restricting the treatments, it  
10                  certainly impacts what our product is capable of  
11                  doing.

12                  So removing the edits and looking at  
13                  new ways of paying for therapies together,  
14                  multimodal therapies. Most of the time a  
15                  physician is restricted. You can only do one  
16                  treatment at a time. So if you put a cellular  
17                  tissue product on a patient that has already  
18                  failed a standard of care but they don't get  
19                  paid for compression or they can't do negative  
20                  pressure, they can't do these other therapies  
21                  together, you're really hamstringing a wound  
22                  care specialist.

1                   And again, the final comment would  
2                   be that other specialties like cancer, you  
3                   wouldn't say to a cancer specialist you can only  
4                   do this one treatment and not do this other  
5                   treatment if the evidence shows that the  
6                   treatments together might work better in  
7                   concert. So that's our final comments. Thank  
8                   you.

9                   CHAIR BAILET: Thank you.

10                   We have one additional individual,  
11                   Dr. Brian Liljenquist, Managing Partner for  
12                   Surgical Wound Care Associates. He's here on  
13                   site.

14                   Thank you.

15                   DR. LILJENQUIST: I'd like to thank  
16                   the Committee for the opportunity to speak.  
17                   Thank you.

18                   Dr. Farooqi, thank you for your work  
19                   on this. It's important. Echo the comments  
20                   that we've heard.

21                   We're talking about access. Dr.  
22                   Terrell, you -- did I say that right? Terrell?

1 Yes. You talk about going to nursing homes to  
2 do wound treatments, right? That's the access.

3 We do that. We get in our cars, we drive  
4 there. That's the early access. We have a hard  
5 time at Surgical Wound Care Associates finding  
6 more doctors to staff our clinics that's  
7 growing.

8 What worries me is that we have this  
9 evolving specialty that's not even a specialty  
10 yet but it's very complex, like we've talked  
11 about. It worries me that we're being premature  
12 and putting limits on it. It's too early for  
13 that.

14 We find we have an average heal time  
15 of 5.2 weeks using the advanced grafts and these  
16 high-end procedures with the interventionalists.

17 Dr. Pittman, I love your excitement,  
18 if you're still on the phone. That's what we  
19 live every day, to see these patients come in  
20 with wounds that have affected their lives.  
21 They can't have a social life. Their kids,  
22 their grandkids won't come around them because

1 they're smelly and leaky. Physicians like Dr.  
2 Pittman, products that we see here, putting  
3 those together and getting that full closure  
4 with a pristine native tissue in six weeks is so  
5 cool, so rewarding.

6           And so as we talk about how to  
7 contain costs it has to be part of the  
8 conversation, but we're just not there yet.  
9 We're still exploring what are best practices.  
10 Interventional radiology has been such a  
11 powerful tool that we use -- 85 percent of our  
12 patient get a referral for vascular or arterial,  
13 or both, and they -- and 65 percent of those  
14 receive an intervention. That happens in the  
15 first week. When we see that patient for an  
16 initial visit, they come back re-vascularized  
17 from this percutaneous procedure and then we can  
18 get to work.

19           I always say we can't grow a garden  
20 without water. And we heard the drywall. I  
21 mean, it's the same thing. We have to treat the  
22 complexities of these very sick patients. It

1 concerns me that we're putting limits on wound  
2 care prematurely right now. Thank you very much  
3 for your time.

4 CHAIR BAILET: Thank you.

5 Oh, one more? Is there one more?  
6 Yes. Maybe two more. Okay. Well -- all right.

7 DR. TETTELBACH: I registered  
8 online. I guess there may have been a mix-up.

9 So my name is Bill Tettelbach.  
10 Appreciate giving me the time to speak.

11 My background actually is infectious  
12 diseases as well as hyperbaric -- understanding  
13 hyperbaric medicine and obviously wound care.  
14 And I currently am the Associate CMO for MiMedx  
15 and I'm also actively practicing as Medical  
16 Director for Landmark Hospitals. I also until  
17 recently was the Executive Assistant Medical  
18 Director for Intermountain Healthcare. I  
19 oversaw wound care for 22 hospitals, 10  
20 outpatient clinics. For the last five years I  
21 was treating faculty for the podiatry residency.  
22 I also was involved in bringing up systems for



1 the Methodist Le Bonheur System in Memphis.

2 So this is obviously a passion.  
3 Everyone that's got up here is passionate about  
4 this. And so I agree with everything that has  
5 been said from the mic today. I thank Dr.  
6 Gelly, Helen Gelly for her comments.

7 The problem is -- looking at this in  
8 a broad perspective, I agree access is the issue  
9 here, increasing access. And having worked  
10 where we've had to increase access within a  
11 hospital- affiliated system from just two  
12 clinics to 10 clinics over five years, we still  
13 didn't scratch the surface. We worked very  
14 closely with the non-affiliated clinics, the  
15 referral systems.

16 And I've also been heavily involved  
17 in research. And so the last three years we've  
18 done venous leg ulcer studies, diabetic foot  
19 ulcer studies. And just looking at the standard  
20 of care, these are large randomized control  
21 trials. Put them all together it's over 300  
22 patients.

1           The typical -- with standard of care  
2 meaning just like an alginate, compression for  
3 venous leg ulcers, off-loading, you get up to 50  
4 percent healing rates. That's a good number,  
5 but the other 50 percent do not heal with  
6 standard of care. And so this model, this  
7 proposal will -- as mentioned before, will  
8 eliminate some of these advanced therapies that  
9 can be done in the non-affiliated outpatient  
10 setting by eliminating some of these Q codes and  
11 putting it into just a bundled payment.

12           The other thing is just even putting  
13 on a cast for off-loading reaches the ceiling  
14 and actually makes it a loss for seeing these  
15 patients when you can't charge for the cost of  
16 the cast that's bundled into the payment. So  
17 there's a very limited range of treatment that's  
18 going to be allowable within this. And so this  
19 is going to get into this system or what we say  
20 in the medical field, especially in epidemiology  
21 for infectious diseases -- this is going to be  
22 like squeezing the balloon.

1           So you're going to be squeezing the  
2           cost out in one area and it's just going to  
3           blossom in another area where there's going to  
4           be more patients or referrals going into  
5           hospital-affiliated clinics, which is -- if I  
6           was still there, would be great, but tell you  
7           the truth, we couldn't handle the volume. We  
8           would have to build more clinics. And it  
9           stresses that multi-specialty.

10           This is a multi-specialty. So this  
11           will also -- there's a trend for wound care in  
12           the outpatient setting to move back out into the  
13           outpatient setting. There are these multi-  
14           specialty clinics now where you have angio  
15           suites, MRIs, hyperbaric and the wound centers  
16           all in one. This is actually what we want and  
17           this is going to maybe inhibit this.

18           Traditional wound care with just  
19           someone treating the wound is really I think  
20           five, 10 years now is going to be the old  
21           standard of doing things. And this bundled  
22           payment will halt that.

1                   So that's really my input having a  
2 broad perspective with evidence showing that you  
3 -- we still have 50 percent of these DFUs and  
4 VLUs, which is the major portion of these. I  
5 have to tell you I've also had the opportunity  
6 to -- over the last year to work with folks in  
7 the NHS, which they struggle with the same  
8 problem. They have a capitated system and a lot  
9 of the rural or community-based medicine has  
10 been a complete failure with these bundled-type  
11 -- or limitations on what can be done by who is  
12 treating them, which is in essence reducing the  
13 cost.

14                   So we should not fall into that same  
15 trap. We need a different payment model as we  
16 talk to here, expanded maybe for putting on  
17 compression, keeping advanced therapies  
18 available, and at the same time I think you're  
19 going to have folks holding onto these patients  
20 for extended periods of time because this turns  
21 into a lucrative model.

22                   It's going to take seven months you

1 hear, but really these folks can be 12 to two  
2 years if you look at the NHS data, I mean 12  
3 months to 24 months. And now you're talking 20,  
4 \$40,000 for one patient for closure, which is  
5 far less than allowing advanced therapies and  
6 sort of individual therapeutics to be charged  
7 within that patient visit. So that's -- I  
8 appreciate the time. Thank you.

9 CHAIR BAILET: Thank you.

10 And since I don't have you  
11 registered I can't introduce you. You'll have  
12 to introduce yourself.

13 DR. NUSGART: And I'm happy to do  
14 so. Good morning. My name is Marcia Nusgart.  
15 I'm the Executive Director of the Alliance of  
16 Wound Care Stakeholders. And you heard from Dr.  
17 Gelly, you heard from Dr. Pittman. They also  
18 represent -- they're some of our members. The  
19 alliance is a non-profit multidisciplinary trade  
20 association of physician specialty societies,  
21 clinical and patient organizations whose mission  
22 is to be able to promote evidence-based quality

1 care and access to products and services for  
2 people with chronic wounds through effective  
3 advocacy and educational research.

4 So our focus is on wound care  
5 research, developing of quality measures for  
6 wound care, as well as reimbursement. And we're  
7 happy to be able to work with you if you decide  
8 that -- as Dr. Berenson would probably say,  
9 there needs to be some changes in terms of  
10 prevention, changes in the coverage with the  
11 LCDs as well as payment. Happy to be a resource  
12 to you as well as education more in the wound  
13 care space.

14 So as some of the other presenters  
15 had mentioned that we appreciate that Seha  
16 Medical had brought up the subject of chronic  
17 wound care to the PTAC's attention. Since it  
18 was noted, our value and health study, that 15  
19 percent of the Medicare population has a chronic  
20 wound and the total Medicare spending on wound  
21 care types could be anywhere from 28 to 96  
22 billion depending upon whether wound care is a

1 primary or secondary diagnosis.

2 I have to tell you I was so  
3 impressed with what I had read from the PTAC  
4 Preliminary Review Team because they did an  
5 outstanding job of addressing some of the issues  
6 within this particular proposal. So we're in  
7 agreement with the preliminary results with the  
8 proposal as written that it has a number of  
9 structural flaws in it, and therefore the -- and  
10 elements that weren't sufficiently developed.

11 For instance, as stated in Criterion  
12 No. 3 of the payment methodology we have  
13 concerns that that proposed \$400 per visit all-  
14 inclusive payment will not allow the providers  
15 to probably give the high quality wound care  
16 services to patients with diabetic foot ulcers,  
17 venous stasis ulcers and pressure ulcers. You  
18 already know; you treated these patients, they  
19 are sick complex patients and could be very  
20 complicated and have complex medical needs.

21 We agree with the assessment on  
22 Criterion No. 9 on patient safety. This low

1 payment could result in risks relating to  
2 stinting on care. Also the proposal didn't  
3 require the provider to adhere to a particular  
4 care model, follow a particular set of national  
5 guidelines or established protocols in order to  
6 achieve the desired cost and utilization  
7 objectives. It's also lacking on how the  
8 proposed quality metrics would be measured.  
9 We're concerned that the patients just may not  
10 be well served under this simplified model.

11 Wound care is really a symptom of a  
12 disease and these patients, as Dr. Gelly and  
13 others mentioned, have a tremendous number of  
14 comorbidities that need to be treated. In fact,  
15 some of the most prevalent comorbid diseases are  
16 hypertension, chronic kidney disease, diabetes,  
17 heart failure, ischemic heart disease,  
18 osteoarthritis and rheumatoid arthritis.

19 Noting the seriousness of treating  
20 these comorbid conditions we're in agreement  
21 with the PTAC's concern that this proposal  
22 doesn't include a severity or complexity



1 component to account for the comorbidities and  
2 other factors.

3 We are also in agreement; you  
4 already mentioned, wound care is  
5 multidisciplinary. There needs to be able to be  
6 an adequate team of physicians, whether they're  
7 surgeons, vascular medicine physicians,  
8 podiatrists, dermatologists, nurse  
9 practitioners, infectious disease experts,  
10 physical therapists, nurses, registered  
11 dietician nutritionists, lymphedema therapists  
12 and primary care physicians to be able to treat  
13 for these patients.

14 We're in agreement with the PRT's  
15 environmental scan underscoring that the  
16 multidisciplinary approach to treating a patient  
17 is a most important element to the success of  
18 treatment because no single health care provider  
19 is adequately equipped with the skills,  
20 knowledge and experience to provide the  
21 comprehensive care for all the chronic wound  
22 care types. And you'd want to make sure that

1 the PTAC -- that this proposal allows for this  
2 type of expertise.

3 It's very interesting and I was -- I  
4 had mentioned to a number of people in the  
5 audience that creating a bundled payment for any  
6 type of chronic condition, especially one that  
7 involves chronic wound care, it's very complex  
8 with many details and thus very difficult to not  
9 only create but also implement.

10 We just met with the CMS' hospital  
11 outpatient department because they're looking to  
12 be able to figure out payment for only a small  
13 portion in the wound care space. That's  
14 actually the application and the products of  
15 those, quote-unquote skin substitutes. The more  
16 clinically appropriate term is what Dr. Gelly  
17 mentioned, cellular and/or tissue-based products  
18 for skin wounds, otherwise known as CTPs.

19 But we -- it was very interesting  
20 because when we were talking with them they had  
21 mentioned the fact that they need to be very  
22 thoughtful about all of this. They were trying

1 to figure out whether there's something that  
2 CMMI might want to be able to do. We had  
3 thought that CMMI has probably bigger fish to  
4 fry.

5 Perhaps if there was something that  
6 was for diabetes, then you could probably have  
7 some type of episode for the diabetic foot  
8 ulcers, but again wound care being very complex  
9 and the fact that what we had mentioned is there  
10 needs to be taken into account not only the NCCI  
11 edits, but also the patient comorbidities.

12 So we are in agreement with the  
13 PTAC's preliminary recommendations. Don't  
14 believe the proposal should move forward as is  
15 currently written, but because of the 20  
16 different clinical associations that we have as  
17 our members that we'd be pleased to be able to  
18 work with you to figure out if you want to be  
19 able move forward with something like this.  
20 Please use us a resource. And thank you so much  
21 for you time.

22 CHAIR BAILET: Thank you. I just

1 need to check to make sure there's no other  
2 unregistered, registered folks. We're good?  
3 Okay. Very good.

4 Oh, I want to again thank Dr.  
5 Farooqi for submitting the proposal, working  
6 with the PRT team to get us to where we are  
7 today, the public commenters and the folks on  
8 the phone. Appreciate that input.

9 Now unless any of my colleagues have any  
10 other additional comments, we are going to begin  
11 our voting process. I would like to alert folks  
12 that Dr. Rhonda Medows is now on the phone, who  
13 is a member of the Committee. She's been on the  
14 line.

15 Rhonda, you want to just introduce  
16 yourself and provide your disclosure?

17 DR. MEDOWS: Certainly. I'm Rhonda  
18 Medows. I am the President of Population Health  
19 Management at Providence St. Joseph Health. I'm  
20 the CEO for Ayin Health Solutions, a Population  
21 Health Management company. I have no conflicts  
22 of interest for this proposal. Thank you.

1 CHAIR BAILET: Thank you, Rhonda.

2 We have one comment from Len.

3 DR. NICHOLS: So, Jeff, I'm all in  
4 favor of moving expeditiously, but shouldn't we  
5 deliberate a little bit first?

6 CHAIR BAILET: Thank you for picking  
7 up on that, sir. Of course we're going to  
8 deliberate. Like I said, please.

9 DR. NICHOLS: Okay. So I have one  
10 question for Bruce and the team and the  
11 Committee. It seems to me what we heard today,  
12 which is actually quite informative for my  
13 economist brain, would have been much better  
14 received, this proposal would have been, if it  
15 had been a risk-adjusted, episode-based bundle,  
16 right? So I was also really struck at how  
17 fundamentally the information that you all had,  
18 the PRT had about cost per I guess you could say  
19 visit or activity differed from the presenter's  
20 read of the literature.

21 Obviously, you didn't have access to  
22 what CMS could do for you, what NORC could do

1 for you, but the data we were shown was all per  
2 visit as opposed to per episode, and he seemed  
3 to be backing out from a per-episode estimate  
4 from the literature, some kind of average. And  
5 so I was really struck at how if you look at the  
6 outpatient portion of the per-visit cost that we  
7 were given, the mean was like \$413 or something,  
8 but the 75th percentile was \$215. You had to  
9 get up to the 90th percentile before you get  
10 into the thousands. So clearly the very common,  
11 the most common cost per visit is way less than  
12 \$400.

13 So I guess my question is how much  
14 information did you all share with the presenter  
15 that NORC was able to give to you, and if there  
16 could be a price that you would put on this  
17 risk-adjusted episode bundle at this moment,  
18 what would it be?

19 MR. STEINWALD: Well, in response to  
20 your first statement, which is maybe if it was  
21 an episode-based, risk-adjusted model we'd be  
22 more favorably disposed, I think the answer is

1 maybe. It depends on what the particulars of  
2 that would look like.

3           You know, we had a couple of rounds  
4 on the data that we requested because we thought  
5 it would be useful for the entire committee to  
6 have an overview of what wound care looks like  
7 under Medicare, both in terms of volumes and  
8 services, who is providing them and the cost.  
9 And I agree there is a little bit of a conflict  
10 between what our presenter said and even what we  
11 just heard right now and what the data that we  
12 were provided seemed to suggest. So I'm not  
13 exactly sure how to resolve that.

14           It does seem clear that there is a  
15 lot of office-based wound care being provided  
16 right now, and the majority is being provided by  
17 podiatrists. Whether that's a good thing or not  
18 is hard to say.

19           We decided that there is still an  
20 issue even if it's not as big as we had thought  
21 about patients being treated in hospital-based  
22 clinics that could be treated in the individual

1 doctor's office, and that's partially an access  
2 issue because there might be more, especially in  
3 non-urban areas.

4           Beyond that, Grace, you might have  
5 something to say, but we -- I can't completely  
6 reconcile the differences in what we hear about  
7 the cost and prevalence versus the data we were  
8 provided by our contractor.

9           VICE CHAIR TERRELL: So there's a  
10 famous quote from William Osler, the famous 19th  
11 Century general internist that -- something  
12 along the lines of to know syphilis is to know  
13 all of medicine. And that was the 19th Century,  
14 but I suspect that for wound care that's a very,  
15 very good metaphor for the 21st Century.

16           And so if you think about the  
17 conversation that we've had this morning and put  
18 it within the context of what wound care is  
19 really about, there's a lot of different causes.  
20 I mean it can be a pressure ulcer, as was  
21 mentioned. It can be neuropathy from diabetes  
22 or some other neuropathic cause. It can be



1 venous insufficiency, which was talked about by  
2 one of our public speakers in great detail. It  
3 can be arterial insufficiency, which is a whole  
4 different thing. And many other causes  
5 including infectious disease or heart failure or  
6 renal failure.

7           So if we're able to actually think  
8 about what the actual problem is today, it's  
9 because lots of different people from lots of  
10 different angles are trying to attack something  
11 where this is the end stage or what we hope is  
12 not an end stage, but an outcome of various  
13 bodily processes. And so we've -- we have a  
14 system in place that's not a system.

15           I'm old enough in my own medical  
16 practice to remember the really, really bad old  
17 days when podiatry was not integrated into  
18 things and the vascular surgeons did not like  
19 them and they would say, well, these guys are  
20 just whittling away at things and eventually I'm  
21 going to amputate it anyway. And then we ended  
22 up with wound care centers at hospitals where

1 for the first time really you started seeing  
2 team-based care that you didn't see in the  
3 outpatient setting. And everybody complained  
4 about the cost, but it was the first time in my  
5 community that the vascular surgeons and the  
6 podiatrists were working together.

7           So I went back when we were looking  
8 at the PRT and spoke to one of those  
9 podiatrists, who used to not be part of the team  
10 and now is really integral with that but also  
11 has an outpatient practice, and I said why don't  
12 you do wound care in your practice anymore, and  
13 he said because it's so much better in the  
14 hospital setting. We can't afford it anyway in  
15 the outpatient setting, which was Dr. Farooqi's  
16 point; he can't afford it anyway.

17           So my point in bringing all this up  
18 in sort of -- in this way is that as we're  
19 thinking about payment models versus care  
20 models. There is no care model for wound care,  
21 and that might be something that all these very  
22 thoughtful folks could work on together to think

1 about what that would mean within the context of  
2 what a wound actually is. And as a result of  
3 that we don't have a payment model that actually  
4 makes sense either and it probably is premature  
5 to do so, but it probably is something where the  
6 entire ecosystem, if you will, of those that are  
7 providing wound care really ought to get  
8 together because it's a whole lot better than it  
9 used to be, but I suspect it's a whole lot  
10 better -- it can be a whole lot better.

11 So this is a real opportunity this  
12 morning to actually have a public conversation  
13 about it with respect to what the PRT can do.  
14 We can make comments on this, we can make  
15 comments on the next proposal, but I'm going to  
16 suggest that we're going to have to throw it  
17 back to you and there may well be the  
18 possibility of multiple people coming together  
19 and saying let's figure out what the care model  
20 ought to be and then let's figure out what a  
21 payment model ought to be.

22 CHAIR BAILET: Tim?

1 DR. FERRIS: I would just encourage  
2 our contractors to take a transcript of what  
3 Grace just said, which I thought was absolutely  
4 brilliantly expressed and perfectly aligned with  
5 the set of issues that this Committee is faced  
6 with, and everyone should read it four or five  
7 times because it is a statement that applies to  
8 our work much more broadly than this specific  
9 proposal.

10 CHAIR BAILET: Okay. Any other  
11 comments from the Committee?

12 (No audible response.)

13 CHAIR BAILET: All right. One more  
14 time with feeling. Are we ready to vote?

15 (No audible response.)

16 \* **Voting**

17 CHAIR BAILET: Okay. So first we  
18 vote on how the proposal meets the 10 criteria.  
19 The member votes roll down until a simple  
20 majority has been reached. We have electronic  
21 devices for the purposes of being efficient. A  
22 vote of 1 or 2 means does not meet, 3 or 4 means

1 meet, 5 and 6 meets and deserves priority, and  
2 the asterisk is not applicable.

3 So we're going to go ahead and start  
4 voting. After we vote on the 10 criteria, we'll  
5 then proceed to vote on an overall  
6 recommendation to the Secretary. We will use  
7 the voting categories and process that we've  
8 debuted at our December public meeting.

9 We designed these more descriptive  
10 categories to better reflect our deliberations  
11 for the Secretary. So first we will vote using  
12 three criteria: not recommended for  
13 implementation as a physician-focused payment  
14 model; recommended; and referred for other  
15 attention by HHS.

16 So we need to achieve a two-thirds  
17 majority of votes for one of these three  
18 categories. So we're going to -- so maybe it  
19 would be better before I go through the Rules of  
20 Engagement for the rest of the process if we  
21 just go ahead and start with the first section  
22 of the process, which is to go through the 10

1 criteria, vote electronically. We're going to  
2 go ahead and get rolling on this starting with  
3 the first criteria. If we could put that slide  
4 up, please?

5 \* **Criterion 1**

6 Okay. Scope 1. Criterion 1, scope.  
7 The aim is to either directly address an issue  
8 in payment policy that broadens and expands the  
9 CMS APM portfolio or include APM entities whose  
10 opportunities to participate in APMs have been  
11 limited. So let's go ahead and vote on this  
12 one.

13 All right. Very good. Ann?

14 MS. PAGE: Two members voted 6,  
15 meets and deserves priority consideration; one  
16 member votes 5, meets and deserves priority  
17 consideration; four members voted 4, meets; two  
18 members voted 3, meets, two members voted 2,  
19 does not meet, and zero members voted 1 or 0,  
20 not applicable. So we need a total of six  
21 votes, and so the majority six Committee members  
22 have voted that the proposal meets Criterion 1.

1 CHAIR BAILET: Thank you, Ann.

2 \* **Criterion 2**

3 Let's go with Criterion 2, quality  
4 and cost. It's a high-priority criterion.  
5 Anticipated to improve health care quality at no  
6 additional cost, maintain health care quality  
7 while decreasing cost, or both, improve health  
8 care quality and decrease costs. So we're going  
9 to go ahead and vote.

10 MS. PAGE: Zero members voted 5 or  
11 6, meets and deserves priority consideration;  
12 zero members voted 3 or 4, meets; five members  
13 voted 2, does not meet; six members voted 1,  
14 does not meet, so the majority has determined  
15 that the proposal does not meet Criterion 2.

16 CHAIR BAILET: Thank you, Ann.

17 \* **Criterion 3**

18 Let's go with Criterion 3, payment  
19 methodology, which is a high-priority criterion,  
20 Pay APM entities with a payment methodology  
21 designed to achieve the goals of the PFPM  
22 criteria, addresses in detail through this

1 methodology how Medicare and other payers, if  
2 applicable, pay APM entities and how the payment  
3 methodology differs from current payment  
4 methodologies and why the physician-focused  
5 payment model cannot be tested under current  
6 payment methodologies.

7 Let's go ahead and vote.

8 MS. PAGE: Zero members voted 5 or  
9 6, meets and deserves priority consideration;  
10 zero members voted 3 or 4, meets; three members  
11 voted 2, does not meet; eight members voted 1,  
12 does not meet. The majority has found that the  
13 proposal does not meet Criterion 3.

14 CHAIR BAILET: Thank you, Ann.

15 \* **Criterion 4**

16 The fourth criterion is value over  
17 volume. Provide incentives to practitioners to  
18 deliver high-quality health care.

19 Please vote.

20 MS. PAGE: Zero members voted 5 or  
21 6, meets and deserves priority consideration;  
22 zero members voted 3 or 4, meets; seven members



1 voted 2, does not meet; four members voted 1,  
2 does not meet. The majority finds that the  
3 proposal does not meet Criterion 4.

4 CHAIR BAILET: Thank you, Ann.

5 \* **Criterion 5**

6 Criterion 5, flexibility. Provide  
7 the flexibility needed for practitioners to  
8 deliver high-quality health care.

9 MS. PAGE: Zero members voted 5 or  
10 6, meets and deserves priority consideration;  
11 one member voted 4, meets; eight members voted  
12 3, meets; two members voted 2, does not meet;  
13 and zero members voted 1, does not meet. The  
14 majority finds that the proposal meets Criterion  
15 5 on flexibility.

16 \* **Criterion 6**

17 CHAIR BAILET: Criterion 6, ability  
18 to be evaluated. Have the evaluable goals for  
19 quality of care, cost and other goals of the  
20 PFPM.

21 Vote, please.

22 MS. PAGE: Zero members voted 5 or

1 6, meets and deserves priority consideration;  
2 zero members voted 4, meets; two members voted  
3 3, meets; seven member voted 2, does not meet;  
4 two members voted 1, does not meet. The  
5 majority have found that the proposal does not  
6 meet Criterion 6, ability to be evaluated.

7 CHAIR BAILLET: Thanks, Ann.

8 \* **Criterion 7**

9 And Criterion 7 is integration and  
10 care coordination. Encourage greater  
11 integration and care coordination among  
12 practitioners and across settings where multiple  
13 practitioners or settings are relevant to  
14 delivering care to the population treated under  
15 the PFPM.

16 Please vote.

17 MS. PAGE: Zero members voted 5 or  
18 6, meets and deserves priority consideration;  
19 zero members voted 4, meets; one member voted 3,  
20 meets; three members voted 2, does not meet;  
21 seven members voted 1, does not meet. The  
22 majority finds that the proposal does not meet

1 Criterion 7.

2 CHAIR BAILET: Thank you.

3 \* **Criterion 8**

4 Criterion 8, patient choice.

5 Encourages greater attention to the health of  
6 the population served while also supporting the  
7 unique needs and preferences of individual  
8 patients.

9 MS. PAGE: Zero members voted 5 or  
10 6, meets and deserves priority consideration;  
11 one member voted 4, meets; eight members voted  
12 3, meets; two members voted 2, does not meet;  
13 zero members voted 1, does not meet. The  
14 majority finds that the proposal meets Criterion  
15 8, patient choice.

16 CHAIR BAILET: Thank you.

17 \* **Criterion 9**

18 And Criterion 9 is patient safety.

19 Aim to maintain or improve standards of patient  
20 safety. Please vote.

21 MS. PAGE: Zero members voted 5 or  
22 6, meets and deserves priority consideration;

1 zero members voted 4, meets; one member voted 3,  
2 meets; seven members voted 2, does not meet;  
3 three members voted 1, does not meet. The  
4 majority finds that the proposal does not meet  
5 Criterion 9, patient safety.

6 CHAIR BAILET: Thank you.

7 \* **Criterion 10**

8 And the last final Criterion 10,  
9 health information technology. Encourage the  
10 use of health information technology to inform  
11 care.

12 Please vote.

13 MS. PAGE: Zero members voted 5 or  
14 6, meets and deserves priority consideration;  
15 zero members voted 4, meets; two members voted  
16 3, meets; five members voted 2, does not meet;  
17 four members voted 1, does not meet. The  
18 majority finds that the proposal does not meet  
19 Criterion 10, health information technology.

20 CHAIR BAILET: Okay. So here's the  
21 summary:

22 So, Ann, did you want to summarize

1 those results for --

2 MS. PAGE: All right.

3 CHAIR BAILET: -- the 1 through 10?

4 \* **Overall Vote**

5 MS. PAGE: The Committee voted that  
6 the proposal meets three criteria: Criterion 1,  
7 scope; Criterion 5 on flexibility; and Criterion  
8 8, patient choice. For the remaining three  
9 criteria the Committee voted that it does not  
10 meet those criteria.

11 \* **Instructions on Report to Secretary**

12 CHAIR BAILET: Thank you. Now we're  
13 going to go ahead and move onto the  
14 recommendation to the Secretary, the first part  
15 of that, one through three: not recommended for  
16 implementation is one; two is recommended. And  
17 if that's the case, there will be two parts to  
18 that or three, referred for other attention by  
19 HHS. So we're going to go ahead and -- is the  
20 Committee ready to vote? Looks -- sounds like  
21 we are. We're going to go ahead and vote here.

22 MS. PAGE: Four members voted refer

1 for other attention by HHS; zero members voted  
2 to recommend the proposal; and seven members  
3 voted one, which is not recommended for  
4 implementation as a PFPM. In this vote we  
5 needed two-thirds majority, which would be eight  
6 votes. And so we've got seven on not recommend  
7 and four on refer for other attention by HHS, so  
8 I don't know if you want to have more --

9 CHAIR BAILET: Yes, I think we need  
10 to have a discussion about this. I have a  
11 comment. I guess I would make a comment.

12 What I'm hearing today clearly is  
13 that the payment -- and as Grace pointed out,  
14 the clinical design for wound care, there's  
15 definitely a disconnect. The design for -- the  
16 payment design is not caught up with the  
17 multidisciplinary approach to this problem. And  
18 the technology that's -- also comes through in  
19 either it's a procedure or a wound dressing, the  
20 fact that there is compartmentalization of  
21 payment and physicians have to decide even  
22 though there's a series of clear -- not just

1 physicians, but clinicians have to decide  
2 there's a series of things that would make --  
3 would be appropriate at the time the patient is  
4 there and have to decide because the payment  
5 doesn't recognize their efforts, that's a  
6 problem.

7           And so to me it's clear that this is  
8 a significant problem given the comorbidities  
9 that are involved here and the drain on the  
10 system that this needs to be addressed. And so  
11 as I sort of think about -- the way I think  
12 about this part 1 is this -- are we saying that  
13 we're -- the challenge is, the balance is that  
14 we're not recommending -- the sense of the group  
15 is we're not recommending this for a PFPM, but I  
16 don't want to lose sight of the fact that this  
17 is a problem that should be attended to and that  
18 CMS and CMMI should explore and address the  
19 challenge that our submitter and also the public  
20 commenters have raised.

21           So that's sort of the frame in which  
22 I think the question is posed because if it's

1 referred on for other attention, it doesn't  
2 necessarily say that we're not -- we're still  
3 not recommending it as a PFPM. And I think we  
4 need as a committee to sort of understand that  
5 distinction.

6 Len?

7 DR. NICHOLS: So I think you framed  
8 it right. I think that Grace said it so  
9 beautifully. The question to me between not  
10 recommending and refer is the old question we've  
11 been asking from the beginning: When is it  
12 worth CMS attention? Seems to me the people who  
13 spoke today and some on the phone and some  
14 others they know should go work among themselves  
15 and come back with a much more concrete proposal  
16 that spans the care model and a risk-adjusted,  
17 episode-based payment model and come to CMS with  
18 that as opposed to say, okay, we think you  
19 should pay attention to this.

20 Because, Jeff, what I worry about,  
21 we have so many proposals that we've recommended  
22 and none of them have been implemented yet. We



1 have so many other priorities that CMMI is  
2 pursuing independent of us to say go think about  
3 wound care when they've got all this other stuff  
4 going on. It would be better if the  
5 professionals came up with a more concrete  
6 proposal and then they could evaluate that.  
7 That would be the time to refer.

8 CHAIR BAILLET: Thank you, Len.

9 Harold has his tent card up; he's on  
10 the phone. And then we'll go with Paul and  
11 Bruce.

12 MR. MILLER: Yes, I'm glad you can  
13 see my tent card. Thank you.

14 I really strongly agree with what  
15 Jeff said and I am in some ways most proud of  
16 what the PTAC does today because we really I  
17 think unearthed an issue that's clearly on the  
18 minds of a number of physicians and providers  
19 that will come up again this afternoon, but  
20 which hasn't been addressed to date. And I  
21 think critically the issue is I'm not clear that  
22 it can be very effectively addressed simply by

1 asking individual physicians or individual  
2 specialty societies to come up with an idea,  
3 partly because it is multi-specialty and  
4 therefore it needs to have attention in a  
5 different way.

6 And second, because of the issues  
7 raised earlier about the data, is that in order  
8 to be able to propose something better, there  
9 needs to be a lot more analysis of data in a  
10 much different way that is not easy to do for  
11 anybody and certainly I think impossible to do  
12 for any individual provider, specialty society  
13 or otherwise. So that to me really justifies  
14 special attention or a different attention in  
15 order to be able to do what needs to be done to  
16 even enable someone to propose a better payment  
17 model.

18 CHAIR BAILLET: Thank you, Harold.

19 Paul, Bruce and then Tim.

20 DR. CASALE: Yes, I'm in Len's  
21 thinking around this. You know, as I was  
22 debating how I voted, I really was thinking

1 through that piece. I really think the advantage  
2 of the entities coming together with a more  
3 comprehensive model may not be perfect, but I  
4 think it's a better place to start ultimately  
5 whether they come back here or go right to CMS  
6 as opposed to referring at this point.

7 CHAIR BAILET: Bruce?

8 MR. STEINWALD: My thinking was more  
9 along the lines of yours, Jeff. I'm sorry we  
10 don't have the advantage of having reviewed the  
11 second proposal because we might have a richer  
12 discussion of what our options are and we also  
13 might want to consider a single report rather  
14 than two separate reports. But I guess I'm of  
15 the belief, as you stated, that the -- both  
16 proposers have identified what appears to be a  
17 genuine problem. And although it would be a  
18 good idea to have a more comprehensive proposal,  
19 it still might be a good idea to raise to the  
20 Secretary why we believe that this is a genuine  
21 problem and deserving of additional attention.

22 CHAIR BAILET: Tim?

1 DR. FERRIS: I was just going to  
2 speak to the fairly narrow issue of the rural  
3 and access issues. It does sound like we're --  
4 there -- I heard a relatively -- I don't want to  
5 ascribe consensus where there isn't any, but the  
6 votes seemed like we had consensus feeling about  
7 this issue, about the issue of the proposal  
8 overall, the complexity of payment in the  
9 context of where ideal care is multidisciplinary  
10 and the requirement for a payment model to  
11 reflect that multidisciplinary nature.

12 But I do think our submitter had a  
13 very good point about access to providers in  
14 rural settings where the existing payment codes  
15 don't actually cover the ability to take good  
16 care of wounds. I can't say whether that is a  
17 real problem or not; it sounds like it might be,  
18 but that's a fairly narrow question and it is  
19 entirely within the scope of CMS to address that  
20 issue all by themselves without any help from  
21 anyone outside.

22 And so I guess with Bruce I'm not --

1 whether it's refer or not recommend, as long as  
2 the message goes to CMS that: (A) we think this  
3 issue deserves attention because it is a big  
4 issue in U.S. health care wound care itself and  
5 that it is most susceptible to a  
6 multidisciplinary team bundled episode payment  
7 approach which needs to be developed maybe by  
8 submitters or not, but also there's a more  
9 narrow issue about access and coverage for rural  
10 providers, that they could just fix on their  
11 own.

12 CHAIR BAILET: Thank you.

13 We're going to need -- well, we need  
14 to re-vote just to confirm people's positions.  
15 We may not get two-thirds. And if that's the  
16 case, we can also send that signal to the  
17 Secretary as well. And I guess maybe to just  
18 summarize the conversations, referring this  
19 proposal on does not automatically say we think  
20 that it is -- we're recommending it as an --  
21 that it's ready for prime time, I guess; my  
22 words, but the way I see it is we're referring

1 it because it's clearly an important issue that  
2 we feel -- if that's our collective, we feel  
3 needs attention because there is definite  
4 incongruences between the way payment and  
5 clinical delivery right now link up on this  
6 particular disease.

7 So that's again the frame in which  
8 I'm going to go ahead and vote on this one, that  
9 it's clearly a significant issue. This  
10 particular recommendation, this particular  
11 proposal is insufficient, but the issue itself  
12 warrants the stakeholders to come together and  
13 put together a robust proposal.

14 So does anybody else want to clarify  
15 the --

16 DR. CASALE: I'm just -- I think the  
17 way you just said that, this is insufficient,  
18 but we think it needs -- you could vote that  
19 either way, right? I mean, you can put the --  
20 say not recommend and then say but we think it  
21 needs more attention. So I'm struggling a  
22 little bit because I --

1                   CHAIR BAILET:  So maybe we get there  
2                   by landing on -- it looks like where the  
3                   Committee's landing right now on not recommend,  
4                   although we don't have enough votes.  And then  
5                   we can get to the refer on based on comments  
6                   that we would make.  Perhaps that's the way to  
7                   thread the needle.

8                   Len?

9                   DR. NICHOLS:  Yes, I think the  
10                  letter can handle the spirit of what you're  
11                  trying to do, and all I'm saying is; the boy who  
12                  cried wolf, if we have no threshold for saying  
13                  it deserves attention, hell, everything deserves  
14                  attention.  We're trying to rank these things  
15                  and I fundamentally believe we have a limited --  
16                  very limited claims have so far zero success  
17                  getting them to pay attention to what we've  
18                  said, and so I think we really ought to be  
19                  careful about using that bullet.

20                  CHAIR BAILET:  Okay.  So we're going  
21                  to go ahead and vote one more time.  One, not  
22                  recommend; two, recommend; and three, referred

1 for other attention.

2 MS. PAGE: One member voted refer  
3 for other attention by HHS; zero members voted  
4 to recommend; and ten members voted to not  
5 recommend for implementation as a PFPM. So the  
6 majority has found that the proposal should not  
7 be recommended to the Secretary for  
8 implementation as a PFPM.

9 CHAIR BAILET: Thank you, Ann.

10 And just to be -- check me on the  
11 process, but given the fact that we've landed  
12 here, we now have the opportunity to go around,  
13 share our respective votes and make sure that  
14 specific comments are made so that the ASPE  
15 staff can capture them and incorporate them into  
16 the letter to the Secretary.

17 And staff has a question already?

18 Did someone have a question?

19 MS. PAGE: Staff. I do.

20 CHAIR BAILET: Oh, Ann?

21 MS. PAGE: Yes.

22 CHAIR BAILET: Please.



1 MS. PAGE: Just as we will capture  
2 the comments that have already been made, but as  
3 you comment please direct us to what extent we  
4 -- you want us to capture comments that may have  
5 been made by a public commenter.

6 CHAIR BAILET: Okay. So why don't  
7 we start with you, Dr. Ferris?

8 DR. FERRIS: Thank you, Jeff.

9 So I think -- so I voted to -- I  
10 voted first time to refer and second time to not  
11 recommend. Thank you, Len, for clarifying my  
12 position.

13 (Laughter.)

14 DR. FERRIS: I think we've said what  
15 needs to be said. I actually don't think we  
16 have -- I didn't -- I don't see any things that  
17 -- they haven't already pointed out that need to  
18 be highlighted, that need to be highlighted in  
19 addition, but I would say that the general issue  
20 of the promotion of multidisciplinary teams, and  
21 it seems to be a common theme in our  
22 deliberations. And Grace uses the term care

1 model and financial model or payment model, and  
2 I think that's very useful. It's very important  
3 to start with what is the care model that we  
4 think best takes care of patients and then work  
5 toward the payment model that best supports that  
6 care model.

7 This is an example of a proposal  
8 that worked in the other direction and it was in  
9 response to a legitimate problem in the payment  
10 system, but I think it is useful to take this  
11 opportunity since it came up during this to sort  
12 of highlight that issue, that what we'd really  
13 like to see in a proposal first is what is the  
14 care model that would provide ideal or optimal  
15 care and then how do we support that care model  
16 with a payment model?

17 I would go further; and I don't know  
18 if the rest of the Committee would come along  
19 this journey with me, but one of the things that  
20 comes up more and more frequently is the simple  
21 fact that optimal care is very frequently  
22 identified as multidisciplinary. And we have a

1 system of payment in our country, the fee-for-  
2 service payment system, which inherently divides  
3 our specialties because people are paid based on  
4 what they do in their silo.

5 To the extent that disciplines are  
6 brought together under a single legal and  
7 financial framework, then payment model  
8 construction is fairly straightforward because  
9 you can move in between those silos all you  
10 want, move patients all you want and it doesn't  
11 affect the income of any one player in that  
12 system.

13 It is also possible to do that in a  
14 world where our specialists practice in  
15 isolation, financial isolation from each other,  
16 but in order to succeed at that you actually  
17 have contractual relationships between them.  
18 And the contractual relationships between them  
19 inevitably become very complicated because the  
20 biology that we're dealing with is very  
21 complicated.

22 And so it would seem to me

1 suboptimal to build a payment system that  
2 encourages siloed delivery and siloed payment.  
3 I actually think that worked generally, and not  
4 in every case, but in most cases that works  
5 against a multidisciplinary model, which is  
6 almost always the right solution for optimal  
7 care model. So thank you for the opportunity to  
8 grandstand.

9 CHAIR BAILET: All right. Very  
10 good.

11 Dr. Patel?

12 DR. PATEL: I voted first to refer  
13 and also got course corrected to not recommend,  
14 and the only areas of emphasis from the public  
15 comment: (1) was just a comment about payment  
16 not keeping up with CTP, which I think is a  
17 theme we'll also see in a future proposal; and  
18 No. (2), kind of the comment both public and  
19 what was made here about the lack of adequacy of  
20 the physician fee schedule. That seems to be  
21 something 100 percent that the Secretary could  
22 probably send that to CM pretty quickly to say

1 here is some kind of lack of parity and also  
2 looking at what the -- what CMS has authority to  
3 do around kind of undervalued codes. It strikes  
4 me that we've identified potentially a host of  
5 undervalued codes for some of these things.

6           And then the third would be actually  
7 directing -- I think the lady that spoke last  
8 from the public comment made the point that in  
9 and of itself this topic might not be enough for  
10 CMMI to kind of chew on. I'm not 100 percent  
11 clear what exactly meets the threshold of what  
12 CMMI will do or not do except that we know they  
13 need to reduce cost and improve quality and  
14 improve morbidity and mortality, but I would say  
15 that within some of our more chronic care  
16 models, certainly our comprehensive primary care  
17 model, next generation models, things that have  
18 more partial or large capitated payments, that  
19 having an area of emphasis on this clinical  
20 condition or -- it's not even one condition,  
21 which is the problem -- would actually be a very  
22 good one.

1           And then finally this comment that  
2           was made about innovation and that this field is  
3           actually very analogous to potentially medical  
4           oncology where we see innovation far outpacing  
5           any payment mechanism that that would be -- that  
6           this actually would be in -- kind of fitting  
7           with other areas where we're struggling right  
8           now with kind of innovations that have yet to be  
9           determined even, but are certainly not being --  
10          the access to those innovations are actually  
11          currently being denied to Medicare beneficiaries  
12          unintentionally because of a lack of evolution  
13          of the payment model.

14                   CHAIR BAILET:   Len?

15                   DR. NICHOLS:   So I voted not to  
16                   recommend both times.   Only two things I would  
17                   emphasize.   One, I definitely agree with what  
18                   Tim said earlier about setting aside the rural  
19                   question.   We should mention that in the letter  
20                   and say that's a separate question.

21                   And then to me it's sort of obvious  
22                   and therefore I would like the letter to reflect

1 it if the Committee agrees that we should say  
2 work on the care model among yourselves and come  
3 back with a risk-adjusted episode bundle.  
4 That's got to be a much more appealing frame to  
5 solve the problem.

6 I would say -- and I don't know if  
7 we're allowed to do this, but it would seem to  
8 me that it would have been very helpful if when  
9 NORC was asked to do the analysis for the PRT  
10 they had produced a distribution of cost by  
11 episode. Because what I heard from my clinician  
12 friend is that there's a set of wound care  
13 that's fairly straightforward and there's a set  
14 of wound care that's is extremely complex, and  
15 there's obviously stuff in between.

16 But if you just look at the  
17 distribution of per-visit cost, it's big. Per  
18 episode must be really big and it would seem to  
19 me that set of -- that table would be extremely  
20 helpful to the clinical teams that ought to get  
21 together to work this out and then come to CMS  
22 for real. But I don't think you can expect them

1 to come up with a number or even a coherent  
2 precise model without having them be aware of  
3 the distribution of costs that vary. But NORC  
4 could do that. It would probably take them, oh,  
5 an hour. But anyway --

6 CHAIR BAILET: Grace?

7 VICE CHAIR TERRELL: We actually  
8 asked them to do some work on that. It ended up  
9 being I remember getting some questions back  
10 relative to actually how to understand how to  
11 define the episode relative to the current  
12 Medicare data. And so the PRT did think through  
13 that and NORC did attempt to work on that within  
14 the context of the data they had. So if that is  
15 something that's important within this issue or  
16 others, we probably need to understand a little  
17 more detail what the capabilities are to do  
18 that.

19 I voted both times not to recommend  
20 really within the context of the spirit of the  
21 way Len was thinking about it. Having said  
22 that, I just want to publicly commend Dr.



1 Farooqi again for bringing this issue forward  
2 and being the first one to do it in a public  
3 meeting in a way because it's so important.

4           With respect to your question, Ann,  
5 about things that were said in the -- among  
6 public comments this morning, I heard some data  
7 points that we didn't have: the two percent of  
8 the total cost of care among Medicare, that if  
9 some of that could be captured, oftentimes --  
10 and it goes to show that oftentimes the  
11 specialty societies and groups have more  
12 interesting data sometimes that we don't  
13 necessarily know to acquire within our usual  
14 ways. That might be effective.

15           There was a comment made by one of  
16 the public speakers with respect to when they  
17 looked at it at the National Health Service. We  
18 actually did ask for some data relative to other  
19 international systems because we wanted to  
20 understand how much of this was related to our  
21 idiosyncrasies of our fee-for-service system  
22 versus others. And so somewhere buried in that

1 report may be some information that we got from  
2 NICE and the British efforts that if it makes  
3 sense to bring that up or not, it would be  
4 something for you all to look at before you're  
5 preparing a draft report.

6 And finally, the issue that again  
7 Tim brought up a little bit that we ought to be  
8 thinking about is as one of the public speakers  
9 challenged whether bundled payments actually  
10 suppresses innovation. And if that's the case,  
11 that's a really important issue that needs to be  
12 thought about publicly in many different  
13 circumstances. And you can make -- I think he  
14 made the argument and you could make the  
15 argument that that's what some of the  
16 nationalized focuses have been. If that's true,  
17 then episodic bundles for comprehensive care  
18 have issues with respect to innovation that need  
19 to be thought through.

20 And so again, my final challenge,  
21 which I hope will be part of our letter, whether  
22 it's a combined letter or a single letter, is

1 that I would challenge all the stakeholders who  
2 spoke today and any others involved in this part  
3 of the health care ecosystem to get together to  
4 come back either with a proposal to us or to CMS  
5 directly addressing the care model and the  
6 payment model in a way that would be  
7 comprehensive to solve this problem.

8 CHAIR BAILLET: Thank you, Grace, and  
9 you took the words out of my mouth. That was  
10 going to be my recommendation, that clearly  
11 there needs to be more coordination as a  
12 proposal would be constructed. The viewpoints  
13 from the commenters was very helpful for me in  
14 sorting this out. And also again commend Dr.  
15 Farooqi for blazing the trail and bringing this  
16 to our attention.

17 I voted not to recommend, but  
18 clearly I've already made comments earlier, so I  
19 don't think I want to reiterate those in the  
20 interest of time.

21 I'm going to turn it over to Bruce.

22 MR. STEINWALD: Like Tim and Kavita,

1 I was re-channeled from refer to not recommend  
2 largely because I thought the sentiment among  
3 the members of PTAC was pretty consistent. I  
4 didn't sense any major disagreement about how we  
5 view the issue, so I'm fine with not  
6 recommending but then raising for -- the issues  
7 we've discussed.

8 Also since I raised the issue myself  
9 in the PRT Report of whether this is a problem  
10 that could be fixed by amending the fee  
11 schedule, I think maybe that needs to be  
12 addressed a little bit. There certainly could  
13 be improvements. And I don't mean to say that  
14 that's not an issue at all, but I guess I'm  
15 convinced in large part because of the  
16 discussion here that this is not just a fee  
17 schedule issue. And a major part of that  
18 conclusion is that if we believe that the way --  
19 the care model should be a multidisciplinary  
20 team approach, just adjusting the fee schedule  
21 won't get you there.

22 CHAIR BAILET: Paul?

1 DR. CASALE: Yes, I also -- well, I  
2 voted not recommend both times. And I guess the  
3 only other point I'd make is that I -- which is  
4 what I think, Jeff, you and Grace and others  
5 have said, is I would encourage them to bring it  
6 -- get all together and bring it back here. And  
7 although, as Len points out, we're 0 for 18, or  
8 whatever, I do think there's value. I mean,  
9 yes, we could refer it to CMS and see what  
10 happens. I would really encourage them to come  
11 back here with a more comprehensive model that  
12 we then deliberate on and presumably move  
13 forward as opposed to -- so I'd really emphasize  
14 that in the --

15 CHAIR BAILET: Yes, and I just guess  
16 I should have been more clear.

17 DR. CASALE: Yes. You did, yes.

18 CHAIR BAILET: I think that that is  
19 the path, right --

20 DR. CASALE: No, I agree, but --

21 CHAIR BAILET: -- that we come back.

22 Yes.

1 DR. CASALE: Right, but we're also  
2 going to make some comments about, well, we  
3 could refer to CMS as well, so I'm just  
4 balancing those two. I would strongly encourage  
5 the return here with a comprehensive payment  
6 model, as you said.

7 CHAIR BAILET: Thank you, Paul.  
8 Jennifer?

9 DR. WILER: I'm going to echo a  
10 couple of the comments that have already been  
11 made.

12 First, again thank you to Dr.  
13 Farooqi for bringing up what obviously has  
14 sparked a really interesting conversation and  
15 highlighted an important issue that will carry  
16 into the second session.

17 My first comment will be to echo the  
18 recommendation of the specialty societies that  
19 some of these issues may be resolved within the  
20 current fee schedule, and I think in our letter  
21 we should specifically describe what some of  
22 those are. If there's currently a disincentive

1 to provide patient-centered care on one visit  
2 and extend it over multiple visits, that should  
3 be addressed in addition to the mis-valuation or  
4 as a description by a specialty society or  
5 societies undervaluation of current codes.

6 I too voted not recommend both  
7 times, but agree and would really encourage the  
8 specialty societies again to get together and  
9 describe what ideal care looks like. It sounds  
10 like the distribution is a bimodal distribution,  
11 not that ill versus highly specialized care.  
12 And we heard in the public comments the care  
13 team could include hyperbarists, infectious  
14 disease providers, interventional radiologists,  
15 podiatrists, primary care providers, general  
16 surgeons. And I'm sure there's many that I have  
17 left out. And that's only the specialists and  
18 doesn't describe the interventions of which  
19 those specialists use in addition to these skin  
20 substitutes.

21 So understanding a care model and  
22 then developing a payment model that addresses

1 these two what sounds like very different  
2 patient populations would be important.

3 And finally, I will -- sorry, not  
4 only payment model, but then I will go deeper.  
5 That would help us to better understand then  
6 what we are looking to judge, and that's the  
7 cost and quality metrics, because those -- the  
8 quality metrics in particular may be different  
9 for those two distributions. And then also I  
10 would encourage the societies to clearly  
11 describe what care coordination looks like and  
12 make sure that they include this technology  
13 component that we're asked to evaluate. As was  
14 described before, I think the experience in the  
15 oncology space is a good one to refer to. Thank  
16 you.

17 CHAIR BAILET: Thank you. Angelo?

18 DR. SINOPOLI: So thank you. Some  
19 great comments around the table, and I voted  
20 twice to not recommend. And I was on the PRT  
21 Committee and had a lot of great discussion in  
22 the PRT Committee with Dr. Farooqi, and just



1 again want to thank him for bringing this issue  
2 to attention.

3 And as I hear the comments though,  
4 nothing around this table I disagree with. I do  
5 think some of the issues may be site of service  
6 or undervalue, some of the codes. My biggest  
7 concern is that this is such a broad issue, to  
8 Grace's points, that we would have to assume to  
9 create an accurate bundled payment model that we  
10 know exactly what the bundle covers, what the  
11 care model covers and that we could actually  
12 create a bundle that would include every  
13 specialty that might theoretically be involved  
14 in that bundle.

15 And so to Tim's point, this really  
16 to me is best paid for in a population health  
17 type of broad payment model as opposed to a  
18 bundle, and maybe the bundle just needs to be  
19 very limited in scope if there is a bundle.

20 I think the first thing that needs  
21 to happen, I agree that the specialists and the  
22 commenters in the room; Dr. Pittman, would be

1 best served by helping us understand what a care  
2 model would look like, what aspects of care are  
3 most common, what would be used most commonly,  
4 how that would get paid for? Then how the  
5 peripheral specialists that need to be involved  
6 could be involved in a payment model that  
7 weren't maybe part of the core bundle. But  
8 certainly something that from a scope standpoint  
9 needs to be addressed, and hopefully we can get  
10 CMS' attention for that. Thank you.

11 CHAIR BAILET: Thank you. And we've  
12 got two of our members on the phone.

13 Rhonda, if you could go first and  
14 then follow up with Harold?

15 DR. MEDOWS: Okay. So I am the sole  
16 person who voted for referral to HHS. I will  
17 tell you that I initially vacillated back and  
18 forth between do not recommend, which I believe  
19 is correct for this particular version of the  
20 proposal. I voted to recommend to HHS because I  
21 believe that HHS is not limited to CMMI. It is  
22 a big and vast place that could address some of

1 the questions, concerns and the need to convene  
2 multiple stakeholders to address a complex set  
3 of conditions that result in wounds. So there  
4 are other places within HHS that could address  
5 model of care.

6 The fee service, I'm not really sure  
7 that the fee schedule is actually the issue. I  
8 think it's more a matter of understanding the  
9 multitude of conditions that can cause these  
10 wounds, the differences in their therapy, the  
11 need for multiple stakeholders to weigh in with  
12 their expertise.

13 I was really impressed with the work  
14 of the PRT. I have to give great kudos to the  
15 physician who led the proposal itself because it  
16 takes a lot of courage to go out there and to do  
17 this, in addition to a lot of work.

18 But I will tell you that the  
19 stakeholders who spoke today actually influenced  
20 my decision the most. Thank you.

21 CHAIR BAILET: Thank you, Rhonda.

22 Harold?

1                   MR. MILLER: I was -- I voted not to  
2 recommend. I was one of the ones who changed.  
3 I was persuaded by my colleagues in fact that I  
4 think it does need to ultimately be a payment  
5 model and PTAC is the relevant venue for that to  
6 come back to.

7                   So, and I agree with most everything  
8 that's been said so far. What I don't agree  
9 with is I don't think we should be stating or  
10 recommending that this should be a risk-adjusted  
11 episode payment model. That doesn't mean I  
12 agree with Angelo either. I don't think this  
13 should only be a population model. I think  
14 there are a variety of things that could be done  
15 by improving the fee schedule. I think there  
16 are ways to introduce some episode cost and  
17 quality accountability without necessarily  
18 making it an episode payment model.

19                   And one of the reasons why I feel  
20 that way is because I think that it seems clear  
21 that there is significant diversity around the  
22 country in terms of the resources that are

1 available and trying to come up with a one-size-  
2 fits-all program could be -- take longer and be  
3 more challenging without achieving the kind of  
4 quick results that I think are really deserved  
5 here.

6           The one thing I want to emphasize is  
7 I do think that it is critical though for -- if  
8 stakeholders do come together to plan something  
9 different that they have to have better data to  
10 be able to do that. And I would like to see our  
11 report reflect that while PTAC could potentially  
12 provide such data, has the mechanics to provide  
13 such data we are not technically authorized, we  
14 are prohibited from providing that kind of  
15 information.

16           So I do think it has to come in some  
17 fashion from HHS and I think it is important  
18 that that data analysis be careful,  
19 comprehensive and iterative. And I think it  
20 particularly needs to be stratified, it needs to  
21 stratified by part of the country so that one  
22 can see where there are differences. I think it

1 needs to be stratified by type of patient, and  
2 that doesn't just mean diagnosis. For example,  
3 I think there are issues in terms of end-of-life  
4 patients with wound care that need to be  
5 addressed separately that we haven't talked  
6 about today. But I think it's critical that  
7 that kind of data analysis be made available in  
8 order for the stakeholders to come up with  
9 something that is a realistic both care delivery  
10 model in multiple places and a payment model  
11 that would support that.

12 CHAIR BAILET: Thank you, Harold.

13 And again I want to thank the  
14 commenters, the folks on the phone, Dr. Farooqi  
15 and the process. And we're going to go ahead  
16 and adjourn until 12:30. So we don't have a lot  
17 of time, but appreciate it. Thank you.

18 (Whereupon, the above-entitled  
19 matter went off the record at 11:54 a.m. and  
20 resumed at 12:49 p.m.)

21 CHAIR BAILET: Okay, we're going to  
22 go ahead. Please take your seats. And we're

1 going to go ahead and start the second part of  
2 the public session today.

3 I have the distinct honor of  
4 introducing our guest speaker, Adam Boehler, who  
5 is a Senior Advisor to the Secretary as well as  
6 the CMS Deputy Administrator and Director of CMS  
7 Medicare and Medicaid Innovation, CMMI.

8 Mr. Boehler brings with him  
9 experience with many innovative ventures across  
10 multiple facets of the private healthcare  
11 industry, including healthcare information  
12 technology and lab management services. He  
13 founded and led one of the largest home-based  
14 medical groups in the country, Landmark Health.

15 And we had, actually, one of the public  
16 commenters who works for Landmark.

17 Mr. Boehler became the CMS Deputy  
18 Administrator and Innovative Center Director in  
19 April of 2018, and added the role of Senior  
20 Advisor to the Secretary on Value-Based  
21 Transformation and Innovation in July of last  
22 year.

1                   Secretary Azar, CMS Administrator  
2                   Verma, and Mr. Boehler have been very engaged  
3                   with the committee. They were all here to give  
4                   public remarks about the important role the PTAC  
5                   can play in the value-based transformation of  
6                   the healthcare system at our public meeting in  
7                   September of last year. And we are fortunate to  
8                   have Mr. Boehler return today.

9                   Please join me in welcoming Adam  
10                  Boehler to learn more about his work at HHS.  
11                  Thank you.

12                  (Applause.)

13                  \*                   **Adam Boehler, Deputy Administrator**  
14                                   **and Director of CMMI - Remarks**

15                  Mr. BOEHLER: Thank you, Jeff. And  
16                  good afternoon to you all. I am delighted to be  
17                  able to join you today, if only for a short  
18                  while.

19                  As Dr. Bailet mentioned in his  
20                  introduction, the Secretary, Administrator, and  
21                  I were fortunate enough to be here for the  
22                  beginning of the PTAC public meeting last



1       September. We were eager to continue to work  
2       with the PTAC and with proposal submitters as we  
3       move forward with transforming our healthcare  
4       system to one that is based on volume to one  
5       that is based on outcomes.

6                 Today I am grateful for the  
7       opportunity to speak directly with you about how  
8       the CMS Innovation Center is working toward that  
9       goal. I will begin with our vision to transform  
10      healthcare into a patient-centered, consumer-  
11      driven model where providers compete for  
12      patients on the basis of lower cost and quality.

13                To achieve this, we at HHS are  
14      concentrating on four areas which we have  
15      publicly shared in a document called the Value  
16      Considerations for Model Development and Testing  
17      Fact Sheet that we published with PTAC not too  
18      long ago.

19                The four areas that HHS and the  
20      Secretary have focused for value-based  
21      transformation are patients as consumers. We  
22      will empower patients as consumers by enabling

1 access to competitive pricing and allowing  
2 patients to share financially in the benefit of  
3 choosing high-performing providers for high  
4 quality, affordable elective procedures.

5 The second is providers as  
6 accountable patient navigators. We will pay  
7 providers for their patients' outcomes, and  
8 remove unnecessary burdens so that they can  
9 focus on delivery of care and not on  
10 administrative tasks.

11 The third is payment for outcomes.  
12 We will test ways to modernize outdated payment  
13 rules that pay providers different amounts for  
14 the exact location that's based solely on that  
15 location in which the service is delivered. We  
16 are also going to expand our efforts to pay for  
17 successful episodes of care, rather than  
18 discrete services.

19 And fourth, prevention of disease  
20 before it occurs. We will consider a patient's  
21 health holistically and focus on early life  
22 interventions to deliver improvements over the

1 course of a lifetime.

2 We are working to develop payment  
3 models that are transparent, simple, and  
4 accountable. We are looking for transparent  
5 models that empower consumers. We're looking  
6 for simple models that reduce complexity so that  
7 participants can understand them. And we're  
8 looking for accountable models that encourage  
9 providers and others to take accountability for  
10 their population.

11 Finally, we're looking for multi-  
12 payer collaboration. We want to ensure that  
13 it's not us alone. We may, in Medicare and  
14 Medicaid, represent a lot of payment and a lot  
15 of concentration and scale, but this will happen  
16 if done together. And we are engaging other  
17 payers, other providers to work in unison. We  
18 want to have a system that fully transforms from  
19 volume to value. And that will be done together,  
20 not alone.

21 For example, we recently introduced  
22 the ET3 Model. This is the Emergency Triage,

1 Treat, and Transport Model. And one item that  
2 I'd recognized publicly when I started in the  
3 outcomes area is that today in Medicare we only  
4 pay a 911 provider if somebody is taken to the  
5 hospital. It's a silly incentive, and it means  
6 lots of people are taken to the hospital. I  
7 guarantee, you get what you pay for.

8 We have introduced a model that has  
9 neutralized that incentive. We, in cooperation  
10 with other municipalities, with Medicaid, are  
11 accepting applications where we would pay a  
12 neutral amount of money if the patient is  
13 treated in place, if they are taken to an  
14 alternative destination, like a physician's  
15 office, of if they are taken to the hospital.  
16 The goal is to do what's best for the patient  
17 and to pay people in a way where they are  
18 compensated no matter where they take the  
19 patient and where they're focusing on the best  
20 outcomes.

21 We also recently introduced an  
22 updated version of the Value-Based Insurance

1 Design, or VBID Model, and a new Part D  
2 modernization model. Together for Medicare  
3 Advantage and Part D plans we expect that this  
4 will improve care and lower costs, both to the  
5 Federal Government but, more importantly, to the  
6 beneficiary directly.

7 I call these models our opening act.

8 We have more to come. We are working on other  
9 proposals, many that build on the concepts and  
10 the proposals that have been announced by this  
11 committee sitting with me here today. Their  
12 work has been invaluable in informing us and  
13 driving our models.

14 You may recognize common themes from  
15 prior proposals. One, we're exploring ways to  
16 reform primary care by simplifying the patient -  
17 - the payment system, reducing administrative  
18 burden, and focusing on patient outcomes.

19 For advanced groups we're looking at  
20 full accountability models, similar to what  
21 you'd see in private Medicare Advantage. These  
22 are built on concepts and proposals introduced

1 by this very committee where we've had  
2 significant engagement with those that have  
3 presented to this committee as a result.

4 We're looking at ways to optimize  
5 care for seriously ill beneficiaries, and to  
6 reduce burdens for organizations that want to  
7 focus on that population. This work is directly  
8 based on a proposal from this committee.

9 We're continuing to evaluate and  
10 look at how hospital-based care can be delivered  
11 at home. We would like to define care on the  
12 basis of the care delivered, not based on the  
13 basis of physical walls, which we consider  
14 largely irrelevant going forward. This is  
15 directly based on a proposal from this  
16 committee.

17 Finally, we're looking at ways to  
18 support better patient-centered kidney care.  
19 The current system cannot continue as it is. We  
20 need to provide the right incentives. We need  
21 to focus on kidney care before end stage renal  
22 disease, looking at chronic kidney disease four,

1 five, looking at a combination model. We want  
2 to create avenues for all to participate,  
3 whether they be a large dialysis group, whether  
4 they be a single nephrologist. And that, this  
5 proposal directly came from this committee that  
6 we are significantly evaluating and hope to have  
7 more news in the not too distant.

8 We've relied heavily on PTAC's  
9 rigorous review. I will say that at the  
10 Innovation Center we have no shortage of ideas  
11 that come. We take a lot of stakeholder  
12 meetings. That's important to our process. We  
13 are very focused on making sure that  
14 stakeholders have the ability to interact with  
15 us. And those stakeholders include providers,  
16 payers, hospitals, members of Congress,  
17 committees, a wide variety of stakeholders that  
18 we engage with. And we think that's important  
19 and it's part of our mission.

20 But the role of PTAC has been  
21 enormous to us. You have a serious amount of  
22 experience across this table and the ability to

1 understand and give us recommendations on where  
2 to focus. Because, as in most of life, time is  
3 your most valuable resource. And we need their  
4 experience to guide us, to let us know where to  
5 focus our efforts so that we can further our  
6 mission of improving quality and reducing costs  
7 for Americans.

8 Thank you very much. Thank you for  
9 having me. I appreciate it. Thank you for all  
10 the work you do.

11 (Applause.)

12 CHAIR BAILET: Thank you, Adam, we  
13 appreciate all your support. Thanks.

14 \* **CMS SUPPORT OF WOUND CARE IN PRIVATE**  
15 **OUTPATIENT THERAPY CLINICS: MEASURING THE**  
16 **EFFECTIVENESS OF PHYSICAL OR OCCUPATIONAL**  
17 **THERAPY INTERVENTIONS AS THE PRIMARY MEANS**  
18 **OF MANAGING WOUNDS IN MEDICARE RECIPIENTS**  
19 **SUBMITTED BY UPSTREAM REHABILITATION**

20 All right. So we're going to go  
21 ahead and key up the next proposal, which is  
22 Upstream Rehabilitation: CMS Support of Wound



1 Care in Private Outpatient Therapy Clinics:  
2 Measuring the Effectiveness of Physical or  
3 Occupational Therapy Interventions as the  
4 Primary Means of Managing Wounds.

5 MS. McDOWELL: Jeff.

6 CHAIR BAILET: Yes?

7 MS. McDOWELL: Excuse me. We didn't  
8 do the final summary for Seha.

9 MS. PAGE: We did, actually, yes.

10 MS. McDOWELL: Okay.

11 CHAIR BAILET: Did you -- Well, what  
12 do you want to do?

13 MS. PAGE: I think the last round of  
14 the Committee comments captured it.

15 MS. McDOWELL: Okay. All right.

16 \* **Preliminary Review Team (PRT) Report**  
17 **to PTAC**

18 CHAIR BAILET: All right. So, we're  
19 going to go ahead and turn it over to Harold  
20 Miller who is on the phone. He is the lead for  
21 the preliminary review team. It was also  
22 comprised of Kavita, Dr. Kavita Patel and Bruce

1 Steinwald.

2 MR. MILLER: Thank you, Jeff. And I  
3 apologize to everyone, particularly Dr. Probert  
4 and the submitters, for not being able to be  
5 there in person. Some illness got me down.

6 But, and I want to thank, as Jeff  
7 mentioned, my colleagues Kavita Patel and Bruce  
8 Steinwald who are on the PRT, and also Audrey  
9 McDowell and Adele Shartzter who staffed us.

10 I'm going to jump to slide 3 here to  
11 start out.

12 Slide 3 describes this proposal went  
13 through two, two stages. The proposal you're  
14 reviewing today is a resubmission from an  
15 original proposal that was submitted last year.

16 And, in fact, this is on wound care. This  
17 actually preceded, came in earlier than the  
18 wound care proposal that we talked about in the  
19 morning.

20 We went through an extended process  
21 with the submitter. Had a series of questions  
22 about the original proposal, which they

1 answered. We developed an initial feedback  
2 report to them. Had a conference call about  
3 that.

4           At that point, they agreed that they  
5 should withdraw the original proposal and submit  
6 a revised proposal to try to respond to some of  
7 the issues that were raised in our initial  
8 feedback report. So, we then received that. In  
9 that revised proposal this fall we requested  
10 some additional information on that. We  
11 received responses to that.

12           And so the PRT report that you have  
13 is really based on our review of both the  
14 original and this now-revised proposal and the  
15 responses to it.

16           Slide 4, the proposal overview.  
17 This is a important, potentially important piece  
18 of background. The submitters did not  
19 necessarily view themselves as coming in and  
20 designing a national payment model. They wanted  
21 to do a pilot project to evaluate the ability to  
22 deliver better wound care through physical and

1 occupational therapists. But, as in many cases,  
2 without a payment model to support that, it's  
3 impossible to deliver the different services.

4 So they proposed a payment structure  
5 to be able to support that, but with recognition  
6 that they didn't necessarily have all the  
7 answers to how things could be structured.

8 The goal with this is really to  
9 enable physical therapists and occupational  
10 therapists to do wound care, and particularly to  
11 manage chronic wounds for Medicare  
12 beneficiaries. And this was viewed as, by them  
13 and by us, as being potentially valuable,  
14 particularly in rural areas, because rather than  
15 having to travel a long distance to a hospital  
16 outpatient department when no one is available,  
17 that physical therapists and occupational  
18 therapists might be able to improve access for  
19 patients in those areas, as well as potentially  
20 other areas.

21 So, the idea was that physical  
22 therapists and occupational therapists, that I

1 will refer to from here on as PTs and OTs for  
2 simplicity, would be eligible if they had  
3 advanced training in treatment of wounds. And  
4 they already do get training in treatment of  
5 wounds, and the ability to track and report on  
6 outcome measures.

7 Beneficiaries would be eligible if  
8 they needed wound care, but also if they needed  
9 therapy. And that's one of the unique aspects of  
10 this is that it isn't just about wound care,  
11 it's about people who need wound care and who  
12 need wound care from someone who can also  
13 provide physical or occupational therapy.

14 So, the referrals would come from a  
15 primary care provider to be able to deliver  
16 these services by the PT/OT. And then the PT/OT  
17 under the proposal would basically stay in touch  
18 with the primary care physician, as they do  
19 today, for physical or occupational therapy  
20 which is somewhat irregular. That was one of  
21 the issues that we identified in the proposal.

22 Slide 5. The payment methodology

1 here is unique and has many beneficial aspects  
2 to it or desirable aspects to it. And I want to  
3 commend the submitters for having developed  
4 something that goes beyond the run-of-the-mill  
5 payment model.

6 This was proposed as actually a true  
7 outcome-based payment in that this physical or  
8 occupational therapist would only be paid or  
9 would have to repay if they -- would only be  
10 paid if they achieved an outcome, or would have  
11 to repay their payments if they didn't achieve  
12 an outcome. Exactly what that outcome is I'll  
13 come back to in a second.

14 But that's very different from the  
15 kind of models that we have received from many  
16 other proposers.

17 The only other real change in terms  
18 of the structure of payment was that the PT/OT  
19 would be able to bill for a new one-time \$250  
20 payment to cover wound care supplies that would  
21 not otherwise be separately billable to be able  
22 to encourage that additional cost to be covered.

1           They would also get the ability to  
2 use existing billing codes for more advanced  
3 skin substitutes. Those codes already exist but  
4 it is not always clear that physical therapists  
5 or occupational therapists can bill for those  
6 codes in giving wound care.

7           The other unique aspect of this  
8 methodology was that there was an episode cap on  
9 the payments that was risk stratified, somewhat  
10 along the lines of the notion of a risk-  
11 stratified episode payment we were talking about  
12 this morning in that for low risk patients the  
13 cap would be \$3,500; \$4,500 for moderate risk;  
14 and \$5,500 for high risk beneficiaries. And  
15 that would be average. It's not an individual  
16 patient cap, it's an average across all the  
17 patients in a quarter. And if the PT/OT practice  
18 exceeded that cap in a quarter they would be  
19 placed on probation. And if they exceeded it in  
20 two caps, in two quarters in a row then they  
21 would potentially be dropped from the program.

22           They would also have the same

1       phenomenon of probation and then being dropped  
2       if they failed to achieve patient satisfaction  
3       scores of 80 percent, which is another outcome-  
4       based aspect to this.

5               We were somewhat confused initially  
6       but found that this is not really, it's not a  
7       full episode cap, it was simply a cap on the  
8       PT/OT billing, which raised some question about  
9       things like wound care supplies or referrals to  
10      other specialists as to whether they would be  
11      included or not. So that was one limitation  
12      that I'll come back to in terms of the proposal.

13              There was also the question was,  
14      well, how, what's the incentive to spend below  
15      the cap? Well, there's a bonus if the average  
16      Medicare payments per episode are below the cap  
17      over a two-year period, then the PT/OT can  
18      retain three percent of the savings.

19              And then they originally wanted to  
20      have a waiver of the what's called the  
21      outpatient therapy cap in Medicare that has now  
22      been repealed. But they would like to have it



1 as part of this also exemption from having to  
2 add additional modifier codes whenever  
3 outpatient therapy billings reach a certain  
4 threshold.

5           They proposed outcome measures using  
6 both a wound assessment tool, which would  
7 measure progress in wound healing, as well as  
8 one from a menu of different functional progress  
9 measures, obviously depending on where the --  
10 what the nature of the wound is, where it's  
11 located or whether pain was more the issue, and  
12 then patient satisfaction.

13           But the practice would have -- and  
14 this is one of the challenges with the model --  
15 would have the choice of which outcome measure  
16 to use. And they would not be required, the  
17 outcome-based payment would not necessarily be  
18 based on wound assessment, on the wound  
19 progress, it could be based on other issues.

20           So, slide six, just to give you sort  
21 of our overview of our conclusions, the three  
22 members of the PRT were unanimous in all of our

1 ratings. We felt that it did meet the scope  
2 criterion, which is one of the high-priority  
3 criteria, but did not meet the other two high-  
4 priority criteria. And that it met four of the  
5 other seven criteria. And I will go through  
6 those all briefly to explain why.

7           But first, slide seven, I want to  
8 just give kind of the overall, the big picture  
9 issues that we identified. Very similar to the  
10 discussion this morning, we felt that this  
11 proposal also focused on an area where there are  
12 really significant opportunities to improve  
13 access to care for patients, improve outcomes,  
14 achieve savings for Medicare. And moreover, it  
15 also brings in a payment model to support the  
16 work of physical therapists and occupational  
17 therapists, which we had not had before.

18           In terms of a care delivery model,  
19 we thought that there was some potential there  
20 to improve patient access to wound care because  
21 of giving patients access to a different kind of  
22 provider than they might otherwise be able to

1 have. And there was a lot of discussion about  
2 the opportunity this presents in rural areas.

3 Our concern, though, was similar to  
4 the concern raised this morning was that this  
5 was also fairly narrowly siloed on the services  
6 that could be delivered by physical therapists  
7 and occupational therapists, which would not  
8 include all the services many patients with  
9 chronic wounds need. And, in fact, PTs and OTs  
10 are precluded under some states to do what's  
11 called sharp debridement which may be necessary  
12 for many patients who have wound care.

13 The payment model, as I mentioned,  
14 had several desirable novel features. The fact  
15 that it's outcome-based and that there would be  
16 some kind of a cap on the average payment per  
17 patient. But we had several major concerns about  
18 that. That doesn't diminish the fact that those  
19 were desirable features because in fact it's  
20 challenging to develop an outcome-based,  
21 episode-based model. But the model that was  
22 proposed really didn't address all of those

1 issues.

2           So that, as I mentioned, the cap on  
3 the payments only applied to the services  
4 delivered by the physical, the occupational  
5 therapist, not the total cost of wound care. It  
6 was a very weak incentive, to spend below the  
7 cap, the three percent of the savings. And  
8 those savings really would relate to the  
9 payments to the physical or occupational  
10 therapist, so in a sense you'd be getting three  
11 percent of what you didn't bill for.

12           There's no requirement explicitly to  
13 continue to serve the patient when the cap is  
14 reached, the dollar cap is reached, or when a  
15 desirable outcome is not being achieved. So,  
16 one of the concerns would be if in fact the  
17 patient isn't doing well they might simply be  
18 dropped. And at the other end there was no  
19 requirement that every patient who needs  
20 services would have to be accepted. So, it  
21 could raise the concern about some cherry-  
22 picking in terms of patients.

1                   And then finally, as I mentioned  
2                   before, the outcome measures are based on  
3                   functional status, not wound healing. It's not  
4                   bad to have outcome measures based on function,  
5                   but since this is a payment model focused on  
6                   wound healing, we felt it was important that  
7                   wound healing be measured as part of this.

8                   Okay, just to briefly go through  
9                   each of the criteria. Slide 8, in terms of  
10                  scope we felt that this met the scope criterion  
11                  because it was addressing a really important  
12                  patient population and because it was also a  
13                  payment model for practitioners that had not had  
14                  an opportunity to participate in APMs.

15                  On Criterion 2, slide nine, we felt  
16                  that it did not meet the quality and cost  
17                  criterion, not because there wasn't a potential  
18                  to be able to lower costs and improve quality,  
19                  in fact, this would shift wound care services  
20                  for some patients from hospital outpatient  
21                  departments to physical therapy practices that  
22                  would reduce spending. And it could well be

1 that with greater access that patients would be  
2 able to be more likely to get care, and thereby  
3 do better.

4           However, as I mentioned, the  
5 safeguards really weren't there to make sure  
6 that the patients were being selected properly.

7       There was nothing that would make clear to the  
8 patients that in fact a physical therapist was  
9 the right provider for a patient who needed  
10 wound care and/or that the physical therapist  
11 could provide a comprehensive set of services.

12           And it wasn't clear that simply  
13 giving physical therapists the ability to use  
14 expensive wound care products would necessarily  
15 result in improved quality versus simply an  
16 increase in spending.

17           Criterion 3, slide ten, is payment  
18 methodology. We felt that it did not meet the  
19 payment methodology criterion. Again, very  
20 positive aspects of this in terms of outcome-  
21 based payment and some risk-adjusted type of a  
22 payment cap. But not a strong incentive to

1 spend below the cap, no adjustments for the  
2 actual amounts of payment, the supply credit.  
3 We did not see any clear justification for the  
4 proposed supply credit. And, again, the payment  
5 methodology really only involved PTs and OTs  
6 rather than an entire multidisciplinary team.

7 Slide 11, Criterion 4, value over  
8 volume. We felt that on balance while there  
9 were positives and negatives that it met the  
10 criterion, given that there was in fact a  
11 requirement that you couldn't simply bill for  
12 the services without achieving some improvement  
13 in outcome. So that has a much stronger value-  
14 based component than current pure fee-for-  
15 service payments do. And there was also a  
16 potential to shift care delivery from higher  
17 cost settings to lower cost settings.

18 But we were concerned that there  
19 were no minimum thresholds for patient  
20 participation or strong enough mechanisms for  
21 keeping the number of services below the cap.

22 Slide Number 12, Criterion 5,

1 flexibility. We felt that this did improve  
2 flexibility because it gave the physical  
3 therapist and occupational therapist additional  
4 kinds of resources, the supply credit and  
5 additional billing codes to do things that they  
6 cannot or may not be able to do today, and  
7 potentially thereby enable them to help patients  
8 who might not otherwise be able to easily get  
9 those services.

10 Slide 13, ability to be evaluated,  
11 this is an interesting one in that there were  
12 going to be outcome measures collected, which is  
13 unusual, and the ability to measure that. The  
14 challenge then would be to, though, compare  
15 these practices to other practices or other  
16 wound care providers that aren't collecting  
17 similar measures. And, moreover, the fact that  
18 there was no one single outcome measure or set  
19 of outcome measures that everyone will be using  
20 also somewhat complicated the ability to be able  
21 to evaluate this.

22 Slide 14, Criterion 7, integration



1 and care coordination. We felt that this didn't  
2 meet the criterion because it really didn't  
3 specify clearly how there would be close  
4 communication between PTs, OTs and PCPs and/or  
5 other wound care practitioners.

6 And I should say also this applies  
7 to many of our applicants, this is not a  
8 criticism of Upstream Rehabilitation and how  
9 they do their care. What we have looked -- have  
10 to look at in all of these models is what would  
11 happen if this were used broadly by a variety of  
12 providers? And the concern was that there was  
13 nothing built into the model that would ensure  
14 that there would be good integration in care  
15 coordination by any participant, not necessarily  
16 just the applicant.

17 Slide 15, Criterion 8, patient  
18 choice. We felt that this met that criterion  
19 because it could well enable patients in many  
20 parts of the country to be able to get wound  
21 care more easily and more affordably than they  
22 can today if they currently have to travel to a

1 distant site hospital outpatient department.

2           So we felt that it would improve  
3 patient choice. But we also thought that if  
4 something like this is done it would be very  
5 important to have good information for the  
6 patients so that they understood what they were  
7 choosing and that they were making the best  
8 choice about their particular needs.

9           Slide 16, Criterion 9, patient  
10 safety. We felt that it didn't meet the  
11 criterion. In some ways, obviously better wound  
12 care would be better for the patients' safety.  
13 But we were very concerned that without the  
14 appropriate kinds of protections to make sure  
15 that patients were getting the right mix of  
16 services for their needs that there could  
17 potentially be some safety issues, and the fact  
18 that there could be some potential incentive to  
19 drop patients who weren't improving could also  
20 lead to some problems.

21           And, finally, slide 17, the final  
22 slide, Criterion 10, health information

1       technology. We didn't feel that it met the  
2       criterion. This, and probably the one this  
3       morning, if people were working as a team on  
4       these kinds of things it would certainly  
5       encourage and maybe require the use of better  
6       HIT to be able to coordinate care. But there  
7       was no description of that here.

8                   The one thing that was strong about  
9       this model was that it actually was requiring  
10      that outcomes be measured and tracked  
11      systematically for patients. But on balance we  
12      felt that it really did not meet the HIT  
13      criterion as it stands right now.

14                   So, that summarizes the results.  
15      Let me turn to Kavita and Bruce to see if they  
16      have any additions or clarifications.

17                   MR. STEINWALD: I don't, Harold.  
18      Good summary. Thank you.

19                   DR. PATEL: Nothing to add except,  
20      Harold, we had a pretty robust kind of back and  
21      forth with the submitter and tried to kind of  
22      appreciate between what was originally submitted

1 and then the revisions as we are moving this.  
2 So I think for the rest of the PTAC to hear and  
3 maybe for the submitters to respond to it,  
4 really did feel like this was originally  
5 intended, as stated, as a pilot, not necessarily  
6 to be kind of this, I don't know, like full-  
7 blown CMMI model so to speak.

8 And that was really something I just  
9 wanted to underscore when the submitters come.

10 MR. MILLER: Thank you, Kavita. I  
11 just want to add I think, I think this is in  
12 fact consistent with what we've seen in many  
13 cases about the limited-scale testing issue is  
14 that many people really need to have the ability  
15 to try something in order to be able to work out  
16 some of the details. And it's really challenging  
17 for them to think through all the details or  
18 specify them without having been able to do it  
19 at all.

20 \* **PTAC Member Disclosures**

21 CHAIR BAILLET: Thank you, Harold.

22 We're going to open it up to

1 questions.

2 Oh, we need to, we need to have for  
3 the record we need to have disclosures. So I'll  
4 start with myself.

5 Jeff Bailet, I have nothing to  
6 disclose.

7 Tim?

8 DR. FERRIS: Tim Ferris. Nothing to  
9 disclose.

10 DR. PATEL: Kavita Patel. Nothing  
11 to disclose.

12 DR. NICHOLS: Len Nichols. Nothing  
13 to disclose.

14 VICE CHAIR TERRELL: Grace Terrell.  
15 Nothing to disclose.

16 MR. STEINWALD: Bruce Steinwald.  
17 Nothing to disclose.

18 DR. CASALE: Paul Casale. Nothing  
19 to disclose.

20 DR. WILER: Jennifer Wiler. Nothing  
21 to disclose.

22 DR. SINOPOLI: Angelo Sinopoli.

1 Nothing to disclose.

2 CHAIR BAILET: Harold and Rhonda?

3 MR. MILLER: Harold Miller. Nothing  
4 to disclose.

5 DR. MEDOWS: Rhonda Medows. Nothing  
6 to disclose. Thank you.

7 \* **Clarifying Questions from PTAC to**  
8 **PRT**

9 CHAIR BAILET: All right, thank you.

10 So, if the committee members have  
11 questions for the PRT, this would be a good time  
12 to ask them. Otherwise we can bring up the  
13 submitters.

14 Grace?

15 VICE CHAIR TERRELL: Just a few  
16 questions.

17 We didn't really touch on this  
18 morning, per se, but this particular proposal I  
19 think may be a time to understand how much you  
20 dug into it. And then there may well be a need  
21 for the submitters to have more data for us.

22 One is around this whole issue of

1 the licensing. Obviously, some states will not  
2 permit certain aspects that others would, and  
3 how that actually would impact a federal policy  
4 with respect to the way you did your decision  
5 making around things.

6 The second one is are there examples  
7 of this outside of Medicare where oftentimes  
8 there's more freedom in certain of the  
9 commercial plans where this has been tried  
10 before? And did you all get any data with  
11 respect to that?

12 And then the third one is a larger  
13 question that really is around some of the  
14 things you pointed out here that could have been  
15 part of the broader discussion this morning,  
16 which is how much evidence-based medicine work  
17 has been done within the context and the field  
18 of wound care by the societies and all the  
19 different provider stakeholder communities in  
20 wound care that can be put together to come up  
21 with comprehensive models of care?

22 So, I think that those three

1 components if you all could just talk about how  
2 much you looked into it and then maybe get some  
3 color from the submitters, that would be useful  
4 for me.

5 MR. MILLER: Well, I'll start and  
6 then Kavita or Bruce can add on. And I think  
7 some of that will need to come from the  
8 submitter.

9 The conclusion that we drew was,  
10 first of all, the state practice act  
11 requirements differ across states. The idea  
12 would be that the physical or occupational  
13 therapist would not do anything that they were  
14 not permitted to do. They would be -- if they  
15 are permitted to do sharp debridement, and  
16 there's variations of what that means, then  
17 they, and if a patient needed it then they could  
18 do it. In other states they might not be able  
19 to do the same thing.

20 The challenge is that what a patient  
21 needs will vary. Some of them may need sharp  
22 debridement, some of them may not in terms of



1 what's going on with their wound. And what  
2 wasn't clear at all to us, and is I think at  
3 this point probably impossible to define from  
4 claims data, is how many patients there are in  
5 those categories and what's happening to them  
6 now because that's not really, you know, tracked  
7 very effectively.

8           So, what we concluded was that this  
9 was not requiring any violation of state  
10 practice acts, but it could potentially result  
11 in differences by state in terms of the number  
12 and types of patients that could be served.

13           Second, I don't think we really had  
14 any information. As you know, it's incredibly  
15 difficult to get any information about what  
16 private payers are doing. And I think the  
17 submitter may be better able to answer that than  
18 we are.

19           In terms of we did look into the  
20 evidence about wound care, and particularly  
21 about the advanced wound care products. And  
22 it's, it's unclear. There is some, there is

1 evidence that of improvement of many kinds of  
2 wounds with the more advanced wound care  
3 products.

4 But there is, as I recall the  
5 research -- and Kavita and Bruce may remember  
6 this differently -- but I, my recollection of  
7 the research was that it was equivocal in terms  
8 of cost effectiveness. That the cost of many of  
9 the products is very high. And unless they were  
10 used narrowly on the patients who were really  
11 having difficulty improving, that use of them  
12 might not be cost effective.

13 And, obviously, under Medicare the  
14 patients' cost sharing stays the same no matter  
15 what.

16 So, I think that is one of the  
17 issues that we struggled with here was lack of a  
18 clear evidence-base that if you did this it  
19 would work versus if you did something else it  
20 wouldn't work.

21 Kavita or Bruce, any, do you recall  
22 anything differently than what I stated?

1                   MR. STEINWALD: I don't. Since I  
2 was a member of both PRTs it might be worth  
3 stating that the way in which the two proposals  
4 are most similar probably is found in the  
5 criterion scope where we all determined that  
6 there's no existing model and, second, that the  
7 current payment system is less than ideal.

8                   After that they depart significantly  
9 in different directions, as we know.

10                   CHAIR BAILLET: All right. Kavita?  
11 No. Okay.

12                   So, why don't we invite the  
13 submitter up to the table. And as you guys get  
14 seated we'd like you to introduce yourselves.  
15 And then you have 10 minutes to address the  
16 committee. Thank you.

17                   \*                   **Submitter's Statement**

18                   MR. VAN NAME: I'm David Van Name.  
19 I'm the President and CEO of Upstream  
20 Rehabilitation.

21                   DR. PROBERT: I'm Krisi Probert,  
22 Senior Vice President of Clinical Development

1 for Upstream Rehabilitation.

2 MR. HUNTSMAN: Stephen Huntsman,  
3 Vice President of Clinical Services and Chief  
4 Compliance Officer for Upstream Rehabilitation.

5 DR. BENNETT: Hi. I'm Greg Bennett.  
6 I'm a clinician and an Executive Vice President  
7 of Upstream Rehabilitation.

8 CHAIR BAILET: Thank you.

9 DR. PROBERT: Great. So, first of  
10 all I want to thank you guys for just the  
11 countless hours. I've been watching in my spare  
12 time, videoed sessions here. And I'm fan-  
13 girling a little bit because I've seen all of  
14 you guys on camera.

15 (Laughter.)

16 DR. PROBERT: So, the amount of  
17 time, and hours, and effort. And just, you  
18 know, in my experience with Bruce, and Harold,  
19 and Kavita, the time and effort that you guys  
20 put into that even though we come from a  
21 different discipline in a different area, I just  
22 want to thank you for giving us this

1 opportunity. We appreciate it.

2           So, this is not simply a proposal to  
3 address and solve the problem of wound care  
4 alone, it's a proposal that seeks to launch a  
5 prospective analysis of the patient experience,  
6 functional outcomes, and reduction of cost per  
7 capita for those patients who would have  
8 received similar or even identical care in  
9 hospital-based settings versus in private,  
10 freestanding rehabilitation clinics which, as  
11 you know, directly targets the triple aim of  
12 healthcare.

13           Those of us representing Upstream  
14 today, which we're the third largest private  
15 outpatient rehabilitation company in the nation,  
16 we are not wound care experts. Though, between  
17 the three of us clinicians we have treated  
18 hundreds of wounds that stood in the way of our  
19 patients achieving functional independence, from  
20 the patient with a venostatis wound that was  
21 pain free but prevented him from enjoying  
22 outdoor walking, or weakened him so that he

1       could not ambulate to the kitchen or stand long  
2       enough to make a bowl of soup, to the  
3       gangrenous, amputated dominant hand digit that  
4       kept a young mother from brushing her daughter's  
5       hair or made her fearful to brush her own teeth.

6                 So, where we find ourselves now,  
7       advanced and veteran clinicians -- can I call  
8       you guys veterans? Is that okay? All right.  
9       And we're privileged to be able to view a broad  
10      landscape of patients we serve. And we're  
11      standing in awe of those clinicians coming after  
12      us who are incredibly skilled and fulfill our  
13      vision so much better than we ever could.

14                It is from that vantage that we were  
15      able to recognize our wound care certified  
16      clinicians who live in rural settings and who  
17      make a difference in their communities,  
18      extending wound care services to patients who  
19      would not otherwise have been able to receive  
20      those services at the level and intensity needed  
21      to return to full participation in their  
22      communities.

1                   None of us could have finished our  
2 careers without having said that we did our very  
3 best to leverage our collective influence to  
4 extend a basic service to the communities we  
5 serve to allow our patients in rural communities  
6 parity in the treatment for the wounds that  
7 preclude their living full lives.

8                   Admittedly, what this proposal  
9 cannot measure is the amount of money this  
10 program saves Medicare, because people are  
11 getting the services they need in the amount  
12 they need with the intensity they deserve  
13 without the inevitable, costly complications and  
14 readmissions that will result from wounds left  
15 untreated, merely because of the hassle that  
16 we're seeing that care entails.

17                   Our mission is to leave our  
18 communities better than we found them, to  
19 interact with our patients with honor, and  
20 provide them with solutions to allow them to  
21 live better, independent lives, achieving  
22 outcomes and a quality of life they could not

1 have otherwise achieved.

2 So I wanted to address some of the  
3 weaknesses specifically and kind of dig into how  
4 we came to those.

5 So, therapists in the private  
6 outpatient space operate under very prescribed  
7 requirements as participants in the Medicare B  
8 program. Interdisciplinary intervention is at  
9 the very core of our practice. Physicians or  
10 physician care extenders must prescribe therapy  
11 intervention based on their judgment that the  
12 patient would receive benefit from our services.

13 That requirement helps control the review  
14 committee's fear that therapy would be over  
15 utilized or consumed inappropriately by patients  
16 who do not require it, who simply have a chronic  
17 wound and no other issues.

18 However, I do have a hard time  
19 imagining any situation where a patient who has  
20 a chronic wound doesn't somehow have any other  
21 part of their functional independence being  
22 interrupted. Maybe a forehead wound, right?



1 But other than that I think, you know, these  
2 patients are going to have function interrupted.

3 Wounds by their very nature require  
4 some sort of special attention or environment  
5 that would increase the amount of time that  
6 self-care and participation in life activities  
7 would normally take. If they are painful, the  
8 patient's quality of life is interrupted and  
9 significantly impacted.

10 Rehabilitation is not simply about  
11 getting a patient back to lifting weights or  
12 playing tennis again or, in this case, just  
13 healing of a wound, it's about treating whatever  
14 it is that is preventing that patient from their  
15 normal, fully participatory role in life. When  
16 a wound is preventing the full, normal  
17 participation it's the responsibility of the  
18 therapist to treat that wound within the  
19 confines of their ability and their capacity in  
20 order to achieve the patient and the caregiver  
21 goals.

22 Just as a primary care physician

1 would not ignore an obvious case of psoriasis in  
2 a patient who consults with him for his  
3 diabetes, therapists are bound to serve the  
4 entire patient to the capacity at which they're  
5 able to do so.

6           Physicians, therefore, are the very  
7 foundation of the care coordination process.  
8 They're integral in not only prescribing that  
9 care initially, but in approving the plan of  
10 care and revisiting that plan every ten visits  
11 or any time a significant change occurs in the  
12 patient's status. The work of the therapist is  
13 in tandem with referral guidance and oversight  
14 of the physician and the physician care  
15 extender.

16           The physician/therapist relationship  
17 is the very embodiment of the third goal of the  
18 CMS quality strategy and, frankly, I think  
19 should be imitated by all specialty practices.

20           Careful monitoring and reporting on  
21 functional outcomes, consistent communication  
22 with the referral source, and the inherent

1 requirement incumbent on all occupational  
2 therapy services to demonstrate progressive  
3 improvement and progress toward the patient  
4 goals, fully satisfies requirement for  
5 multidisciplinary intervention, and ensures  
6 standards of quality care are followed.

7           Now, as to the concern that other  
8 disciplines such as surgeons would not be  
9 contacted as needed, physical and occupational  
10 therapists are well trained as a fundamental  
11 tenet of our profession to treat within the  
12 confines of our practice acts and our capacity,  
13 and to involve other healthcare professionals  
14 when necessary. To imply that a model would be  
15 needed to enforce that specifically is analogous  
16 to saying that a primary care physician would  
17 need a payment model to enforce their  
18 involvement of a surgeon or other specialists  
19 when the condition evolves beyond their  
20 expertise.

21           Additionally, we're highly trained  
22 in and fully understand our respective national

1 practice standards to which we are sworn to  
2 uphold upon entering this profession, and fully  
3 understand that we must demonstrate the skills,  
4 education, and certification needed to  
5 participate in any practice area.

6           Again, it would be analogous to  
7 having a patient model needed to remind a  
8 primary care physician without further training  
9 and board certification that they're not  
10 qualified to perform surgical procedures.

11           The additional concern that there  
12 are certain state practice acts that do not  
13 permit sharp debridement for therapists is not  
14 new to our industry. It is inherent to the  
15 practice of our profession that we must consult  
16 the most restrictive guidelines to practice.  
17 Often, the state practice act does limit certain  
18 activities that the payment sources actually  
19 permit. In those situations, we always adhere  
20 to the stricter limitations set by the states  
21 under which we're licensed.

22           Now, highlighted as another weakness

1 of the model was the lack of data to support the  
2 assertions. I fully agree. The data that we  
3 have to pull from is limited to our own practice  
4 of 20 clinicians in a geographically isolated  
5 area in the Southeast.

6 For example, to arrive at the \$250  
7 of payment for supplies I took a trailing 12-  
8 month look at one of our busiest clinics. And  
9 they spent about \$26,000 in supplies. And over  
10 that period of time they saw 103 unique  
11 patients. So, from that I said, okay, that's  
12 \$250 bought, so that's where I had to come up  
13 with that. You know, again, a starting point  
14 because I just don't have any other starting  
15 point.

16 But what we do as practice directors  
17 is our success depends on our ability to deliver  
18 the highest quality care with a focus on  
19 achievement of functional outcomes and superior  
20 care to our patients, while ensuring that they  
21 get that just-right care. Right? We don't want  
22 to over utilize, we don't want to underutilize.

1       So we have to manage those practices  
2 appropriately.

3               This proposal would allow for  
4 specific, open sharing of data in a prescribed  
5 format, in a collective data warehouse for a  
6 period of two years precisely to achieve the  
7 goal of demonstrating savings under the private  
8 rehabilitation clinic model versus hospital-  
9 based models. Admittedly, the difficulty will  
10 remain to ascertain and analyze comparative data  
11 from hospital-based settings. But, again, we're  
12 going to have to lean on our friends at CMS to  
13 whom we're providing this data to help us  
14 analyze and make recommendations based on  
15 comparable settings.

16               So, as for the incentive for  
17 clinicians to manage patient episodes under the  
18 maximums prescribed in this model, we proposed a  
19 three percent savings for each patient claim  
20 under that maximum threshold as a carrot. And  
21 then the stick of removal of the program for two  
22 consecutive years if they're not meeting those

1 goals.

2 Therapists under this model are  
3 going to be required to meet the provisions of  
4 the MCIDs for outcomes and patient satisfaction.

5 And we can certainly address those MCIDs, but  
6 those are, you know, basic, they're based on  
7 research. NIH has developed the MCIDs for  
8 multiple models that we proposed. And we're  
9 going to lean on those recommendations.

10 So, there are always patients who  
11 will not show functional improvement quickly  
12 enough during the prescribed time line. Again,  
13 it's incumbent upon us as part of our training  
14 and oath as clinicians to continue to provide  
15 care for these patients as long as they're  
16 showing improvement, even if it means possible  
17 probation if the clinician has multiple patients  
18 who exceed the stratified amount.

19 But keep in mind, again, this  
20 proposal doesn't fundamentally replace the  
21 Medicare payment system. It's intended to track  
22 and monitor those patients within the tiers set

1       forth in this program in order to justify a more  
2       fully fleshed out overhaul of the program.

3               As for the separate payment for the  
4       cellular and tissue-based products, again we're  
5       asking that those be separate, not an in  
6       addition to. Those patients would probably be  
7       getting these CTPs anyway. We're just asking to  
8       allow us to go to that program. And I would  
9       suggest that we do a DME-based type program for  
10      that as well for initial separate certification.

11              So, finally, we own and champion the  
12      realization this proposal is more than about  
13      healing wounds. In fact, that's the point. As  
14      we're firmly embedded in our patients' lives, we  
15      understand that it is more than wound healing.  
16      It's more than the achievement of a certain  
17      range of motion or being able to lift the  
18      poundage. It's more about the so what? You  
19      know, this wound precludes them from so what?

20              And certainly we want to address  
21      wound care centrally in this program, but we  
22      also want to look at how is that then precluding



1       their lives. And we feel like that therapists  
2       are well positioned to do so.

3               So, thank you for viewing this model  
4       through the lens that this is our profession's  
5       only route to seek the opportunity to measure  
6       and prove out our effectiveness in this arena.  
7       Thank you for allowing us to achieve our  
8       mission, which is to leave our communities  
9       better than we found them, to interact with our  
10      patients with honor and provide them with  
11      solutions to allow them to live better,  
12      independent lives, and achieving outcomes and a  
13      quality of life they could not have otherwise  
14      achieved. Thank you.

15              CHAIR BAILLET: Thank you, Krisi.

16              I'm going to open it up to my  
17      colleagues for questions, starting with Len  
18      Nichols and then Bruce.

19              DR. NICHOLS: Great presentation.  
20      And not just because I like your accent.

21              (Laughter.)

22              DR. PROBERT: I like Grace's accent,

1 too.

2 DR. NICHOLS: Well done. Well done.

3 So, obviously this is creative. And  
4 we applaud that. And I heard from Harold that  
5 you originally proposed it as a pilot, and the  
6 200 sort of cutoff makes a lot of sense.

7 Did you all go to CMS and ask them  
8 directly or CMMI, like what pray tell led you to  
9 our door?

10 DR. PROBERT: What pray tell led us  
11 here. Right.

12 We actually did do that. We went to  
13 the Innovation Center first.

14 DR. NICHOLS: Okay.

15 DR. PROBERT: And that's probably,  
16 what, two years ago I guess?

17 MR. VAN NAME: Yes, about two years.

18 DR. NICHOLS: Okay.

19 DR. PROBERT: And they said, this is  
20 fantastic, we love it. But we're kind of the  
21 end goal.

22 DR. NICHOLS: Yeah.

1 DR. PROBERT: So, you guys go  
2 through this process.

3 DR. NICHOLS: Yes, we're used to  
4 that. Okay, fine. We're happy to play that  
5 role.

6 DR. PROBERT: Great.

7 DR. NICHOLS: So, at this point,  
8 knowing what you know, and who you know, and  
9 what you've learned, and what you'd like to  
10 learn, can you imagine working with a larger  
11 group of folks focused on wound care to come up  
12 with what I'm going to call a really cool demo,  
13 a really cool pilot? Because that seems to be  
14 kind of where we all are.

15 Like, I love your actual using of  
16 algebra to compute the 250, and that you had  
17 real numbers. But, you know, it's -- so, so how  
18 do we get to do that in the quickest possible  
19 way?

20 My sense is, my sense is telling you  
21 to go back and figure that out is not an option.  
22 You've done what you can do now. We've got to

1 figure out how to take it from here.

2 DR. PROBERT: Sure. And it is hard.

3 DR. NICHOLS: So what's your --  
4 yeah.

5 DR. PROBERT: And, as you know, the  
6 bundled payments space, right, has been  
7 attempted --

8 DR. NICHOLS: Right.

9 DR. PROBERT: -- not successfully;  
10 right? So it's very hard I think with, you  
11 know, multiple systems. We have lack of  
12 interoperability between our health information  
13 systems that's not been successful in our  
14 industry. So, really that's why we focused on  
15 let's control what we can control, our piece of  
16 this.

17 DR. NICHOLS: Right.

18 DR. PROBERT: Right? And so, I  
19 agree, I don't know how.

20 DR. NICHOLS: But do you have  
21 natural partners you can think of, and maybe  
22 some of your clinicians can point you to, so

1 that you could make this, if you will, a larger  
2 conversation?

3 DR. PROBERT: I think we could, yes.

4 MR. VAN NAME: I think the key here  
5 is that we do have comparable industry partners,  
6 other companies that are in the same space. And  
7 this proposal was really born out of a need.

8 This was for us, when we started to  
9 do business in central Tennessee where there was  
10 a great deal of distance between our clinic and  
11 the nearest community hospital, that the need  
12 was there from our clinicians that were saying  
13 we really have to provide these services between  
14 these Medicare patients otherwise would have to  
15 drive more than 35 miles to a hospital. And,  
16 therefore, they wouldn't do it. And they  
17 wouldn't get care. And that would create other  
18 comorbidities that would be problematic.

19 And so that's where this really,  
20 really came from for us. But we have similar  
21 companies in our industry that also have the  
22 same problem of rural clinics that have a need

1 for their patients. And so I think it would be  
2 pretty easy to actually source the patients.

3 The comparability of the data is  
4 what we need to do. But there are industry  
5 standards that could be established for  
6 measuring the quality of outcomes. And almost  
7 every one of our providers participate in some  
8 outcomes measurement tool today as, you know,  
9 most healthcare providers are aiming for that  
10 anyway.

11 MR. STEINWALD: Krisi, you used the  
12 analogy a moment ago about how you wouldn't need  
13 to have a model or a set of rules to persuade a  
14 primary care physician that he should refer a  
15 patient to a surgeon if the patient needs  
16 surgery.

17 And yet, an awful lot of medical  
18 care is sort of right at that nexus of do we  
19 continue to treat without a major intervention,  
20 or do we need to refer the patient on for an  
21 intervention that's different from what we're  
22 providing ourselves.

1                   My question is since your  
2                   organization that's submitting the proposal is  
3                   oriented to physical and occupational therapy,  
4                   how do you ensure that the services that a  
5                   patient gets for wound care are sort of neutral  
6                   with respect to the discipline of the various  
7                   providers who could be providing care, and not  
8                   too much focused on physical and occupational  
9                   therapy at the expense of other providers?

10                   DR. PROBERT: So, you know, when we  
11                   set out from the onset of the treatment of the  
12                   patient, you have certain goals that you need to  
13                   meet. And those goals really guide the plan of  
14                   care that we write and how we're going to  
15                   achieve those.

16                   In order to really be paid and  
17                   receive payment from Medicare, we have to show  
18                   progress in those areas. So it is, it behooves  
19                   us if something is happening with that patient  
20                   that they're not improving, and I realize that  
21                   another, you know, another source needs to be  
22                   consulted, I really have to do that or I can't

1       achieve my goals. Right?

2                   As a hand therapist if I have a, you  
3 know, a tendon injury that's not -- that should  
4 be healing, that I've made all the appropriate  
5 adjustments and I've treated the wound, and  
6 there's a, you know, a suspicion of infection,  
7 well, guess what? I'm not going to meet those  
8 goals that I have set. I'm not going to get  
9 paid for that service if I don't refer them back  
10 to the plastic surgeon, if I don't refer them to  
11 further care.

12                   So I think it's all part of that  
13 inter -- you know, the interplay of that plan of  
14 care with the physician that you're partners in  
15 making that patient better.

16                   CHAIR BAILET: Jen.

17                   DR. WILER: Thank you very much for  
18 your presentation and for continuing to  
19 highlight what is clearly a problem with the  
20 current Medicare fee schedule. My question's  
21 going to be similar to one that I asked this  
22 morning of the other group, and that's with



1 regards to our evaluation of Criterion 1, which  
2 is scope.

3 We're asked to consider the overall  
4 potential impact of the proposed model on  
5 physicians or other eligible professionals and  
6 the beneficiary of participation. Obviously,  
7 the space with regards to beneficiary  
8 participation is large, both in number of  
9 beneficiaries affected, in addition to total  
10 spend.

11 But do you have any sense of with  
12 your proposed model should it be scaled beyond a  
13 pilot, what the total number of occupational  
14 therapists or physical therapists who might be  
15 involved in these models, acknowledging that  
16 there is this concern about state scope of  
17 practice rules?

18 DR. PROBERT: No. And that's an  
19 interesting question. I did try to look at some  
20 of the specialty organizations that certify  
21 physical therapists as wound care specialists  
22 and occupational therapists as wound care

1 specialists. And there's not a lot of data out  
2 there.

3 Now, just like anything, once there  
4 becomes an opportunity in this space that it's  
5 not a loss leader, that would probably encourage  
6 more folks to go down this route and get that  
7 certification.

8 Matter of fact, when we saw success  
9 in our small little model in Tennessee, we then  
10 had more clinicians stepping up to say, you  
11 know, I want to go this route.

12 So, so it, I think if you build it  
13 they will come if we do that. So, but I don't  
14 have any ideas of what numbers we'd be looking  
15 at.

16 You know, I know you guys saw in the  
17 proposal that for 200 clinicians that I proposed  
18 to be in this, they could touch 18,400 lives  
19 over the course of two years. So, you know,  
20 taking those basic numbers and try to  
21 extrapolate I think, you know, at that ratio we  
22 can have a significant impact on those

1 beneficiaries.

2 MR. HUNTSMAN: And to that point as  
3 well I might add, we have, in the profession we  
4 have therapists who this is almost all they do.

5 It's a passion, it's a love. They really enjoy  
6 wounds. And having been trained in that in PT  
7 school on my end we had several therapists that  
8 really enjoyed that aspect of it and really  
9 wanted to treat wounds. But they're limited on  
10 where they can work because it's harder to be  
11 able to deliver that care in a rural setting  
12 when you're not getting paid for it.

13 So, where do they gravitate towards?  
14 The larger metropolitan areas. And then, guess  
15 what, the patients follow them there.

16 So with them not having the  
17 resources out in the other communities because  
18 they're not getting paid for it, well then  
19 that's a challenge for us. So, we want to  
20 recruit them into these areas. They're like,  
21 gosh, I really love wounds. We're like, we don't  
22 really have that option here for you. And so

1 they stay where they are.

2 CHAIR BAILET: Grace.

3 VICE CHAIR TERRELL: I don't know  
4 how much of the conversation you all were  
5 present for this morning with the other wound  
6 care proposal, but one thing that was not really  
7 particularly brought up that I'm thinking as  
8 part of a report at some level we might need to  
9 give some thought to, so I'd love to hear your  
10 comments, relates to wound care as it relates to  
11 palliative care and how these models need to  
12 think about that.

13 So, I will tell you one of the  
14 greatest failures I ever had in my clinical  
15 practice was a call I got from a nursing home  
16 patient that I took care of from an ambulance  
17 driver who had taken him to a wound care visit  
18 and they died in the ambulance on the way there.

19 They did not need that wound care.  
20 I don't know, I don't remember anything about  
21 the circumstances other than I just felt like  
22 the entire system was a clinical failure.

1                   So, there are people that have  
2                   wounds that need palliative care. And they're  
3                   probably a fairly large portion. So what you  
4                   all are doing, I love the name Upstream for all  
5                   the reasons because it's about, it's about  
6                   preventing bad things. And we heard a lot this  
7                   morning from some of the public speakers about  
8                   getting people back to a level of function, and  
9                   improving, and having, you know, better  
10                  outcomes. But the truth is that a wound  
11                  sometimes is an end stage when somebody is at  
12                  the end of life.

13                  So I would just be, I would find it  
14                  useful if you could give me any thoughts you all  
15                  have with respect to payment models and/or care  
16                  models and how we actually think about  
17                  palliative care as it relates to medical  
18                  appropriateness and utilization in something  
19                  where there's a spectrum clinically and there's  
20                  a point where clearly services are not going to  
21                  be preventative but they're going to be  
22                  palliative.

1                   How do we bring that into our models  
2 of care?

3                   DR. PROBERT: You know, I think this  
4 issue surfaced for us as a profession with Jimmo  
5 v. Sebelius where if they have a declining  
6 system, a declining disease, right, that doesn't  
7 mean that they should not get care to maintain  
8 the level that they're at. Right?

9                   So I think that speaks to this, this  
10 segment of the population, you know, what does  
11 function mean? What does improvement mean?  
12 That's one of the great things that I love about  
13 OT, it's like what is the role for this person  
14 right now? How do I return them to that? And  
15 if that means dying in a pain-free manner, if  
16 that means this portion of their life at the  
17 maximum capacity that they can be I think that's  
18 very appropriate. So I think that has to be  
19 considered in this, you know, what does  
20 improvement in function mean?

21                   Sometimes, sometimes that does mean  
22 maintaining a life without pain. And so I think

1 that's really important to have the pain measure  
2 in this. You know, if nothing else, if they're  
3 not improving in anything else am I improving  
4 their pain? Am I improving their, you know,  
5 basic standard of life they have at this point?  
6 So, I think it's a great point.

7 DR. PROBERT: Yes.

8 CHAIR BAILLET: Harold is on the  
9 phone. He has a question as well.

10 MR. MILLER: I do. First of all, I  
11 just want to also again commend Krisi and the  
12 team from Upstream for having done all this work  
13 and tolerated all the many questions that we  
14 have asked over the past year.

15 Krisi, when I listen to you talk you  
16 originally, your proposal is titled Physical or  
17 Occupational Therapy Intervention as the Primary  
18 Means of Managing Wounds in Medicare Recipients.  
19 But, when I hear you talk what I hear you  
20 talking about is patients who are coming to you  
21 for physical and occupational therapy to restore  
22 functional status of some kind where the wound

1 is an integral part of that and where failure to  
2 treat the wound effectively, or failure to treat  
3 the wound in a coordinated way reduces your  
4 ability to achieve what is really the functional  
5 outcome that you're trying to achieve.

6 And we have been evaluating this  
7 model all along based on that title, which is  
8 that this is using PT/OTs as a primary means of  
9 managing wounds in Medicare recipients. And I  
10 wonder if you could comment on those two  
11 different ways of sort of characterizing the  
12 issue and whether you would be comfortable with  
13 something that was more focused on patients who  
14 really had a functional need first and foremost,  
15 with the wound care being secondary to that,  
16 rather than something where wound care is  
17 primary?

18 DR. PROBERT: Is that what you're  
19 saying, Harold, I screwed up on the title there?  
20 Is that what you're saying?

21 MR. MILLER: No, no, no.

22 DR. PROBERT: I'm teasing. I'm



1 teasing.

2 MR. MILLER: Maybe you, maybe you  
3 didn't screw up, that's what I'm asking here.  
4 So that you might have thought that that char --  
5 but at least it led me to believe something  
6 about what you were trying to achieve.

7 DR. PROBERT: Sure.

8 MR. MILLER: But I want to verify  
9 whether that's true or not.

10 DR. PROBERT: So, you know, I don't  
11 think that we're looking at really changing the  
12 role that the physical and occupational  
13 therapist plays in the wound care setting. I'm  
14 trying to characterize what it is the physical  
15 and occupational therapist does in the  
16 outpatient setting, which is we're the person  
17 that sees them every day, right, we see them  
18 most often, we can make those recommendations.  
19 We see the changes that take place.

20 So, you know, from my lens I see  
21 myself as the primary person who's interacting  
22 with this patient, certainly in terms of

1 frequency. But I don't see this as being a  
2 change in the role that's taking place right now  
3 in the outpatient setting or even in the  
4 hospital-based setting.

5 So your point is well taken. I  
6 think it does beg the question of do we need to  
7 change this title should it go forward into  
8 something that more accurately reflects what it  
9 is we're trying to do here.

10 MR. MILLER: So let me, can I just  
11 follow up then? And just to be clear, would you  
12 be comfortable -- and I'm just throwing out a  
13 concept, I'm not making a recommendation to you  
14 -- if this, if this were about limited to  
15 patients who were in need of physical or  
16 occupational therapy and where you're proposing  
17 to give the PT/OT some additional tools to be  
18 able to achieve, namely related to wound care,  
19 to be able to achieve better outcomes in  
20 physical and occupational therapy would that --  
21 would you say yes, that does characterize what  
22 we're talking about?

1 DR. PROBERT: Yeah. I -- yes, it  
2 does, Harold. That's a great suggestion. It  
3 actually it would characterize it better.

4 MR. MILLER: Okay, thank you very  
5 much.

6 CHAIR BAILET: All right, thank you.  
7 Tim.

8 DR. FERRIS: I am coming late to the  
9 party here.

10 So, I'm just thinking about the  
11 nursing home setting. And we talked earlier  
12 about, you know, the way forward in terms of  
13 models of care as likely multidisciplinary. And  
14 here we have a single discipline proposal. And  
15 I'm just reflecting on the fact that actually  
16 there is another clinician in the nursing home  
17 that sees the patient every single day. In  
18 fact, every single person in every single  
19 nursing home gets their medications from a  
20 nurse. That might be why they call it a nursing  
21 home.

22 And I just wondered why nurses in

1 the nursing home aren't part of the team here in  
2 this proposal. Maybe you could --

3 DR. PROBERT: Well, because it was  
4 focused basically in outpatient settings is why.  
5 So it's not for skilled nursing settings. We  
6 were looking at primarily in the outpatient  
7 space, so.

8 DR. FERRIS: Okay.

9 DR. PROBERT: Yeah.

10 CHAIR BAILET: All right. Krisi,  
11 your team, thank you so much for your  
12 contribution and sticking with us through the  
13 process that's taken us to this place.

14 \* **PUBLIC COMMENTS**

15 So, as you're taking your seats I'm  
16 going to invite up William Tettelbach, who is  
17 the Associate Chief Medical Officer for MiMedx.

18 We've got to turn that mic on.

19 DR. TETTELBACH: Are we on? There  
20 we go.

21 All right, just to be transparent  
22 I'm going to reintroduce myself again. I'm Dr.

1 William Tettelbach. I am the Associate Chief  
2 Medical Officer at MiMedx. I'm also Medical  
3 Director of Landmark Hospital in Salt Lake City.  
4 Actually have an appointment with Duke  
5 University through the Department of  
6 Anesthesiology, hyperbaric medicine.

7 So, just recently over the last  
8 eight years I was the Executive Medical Director  
9 over all the wound care that had to do inpatient  
10 for 22 hospitals and 10 outpatients. We are an  
11 interesting institution in that we are a hybrid  
12 patient- or population-based system as well as a  
13 fee-for-service. So we've been heavily driven  
14 to find ways to support, you know, population  
15 health or, you know, keep people out of the  
16 system but healthy at the same time.

17 So we for years now have done a  
18 similar model like this. So I'm actually up  
19 here in support of this proposal for a number of  
20 reasons.

21 One, we need more access, more  
22 access to wound providers, PT and OT. At least

1 PT has been well established as wound care  
2 providers. But we were able to up and improve  
3 the ante by bringing in collaborations with  
4 physician wound specialists, as sort of was  
5 implied here today.

6 And we did that through a number of  
7 mechanisms. So, concerns about safety, concerns  
8 about integration of technology, there's great  
9 tools, affordable tools out there that will let  
10 you do this now.

11 There is a, when you are measuring  
12 metrics for success in this model, when you are  
13 measuring wounds and how they're percentage-wise  
14 healing over time there is a 40 percent error  
15 rate from hand-measured wounds every time you  
16 measure. So there are now handheld devices, you  
17 know, there are apps that are integrated into  
18 EMRs that have consistent measurement every time  
19 that can be seen by the person taking the  
20 picture and whoever is collaborating with them.

21 The other is using telemedicine that  
22 is, like, HIPAA compliant, through Skype for

1 Business. So if you can integrate clinician or  
2 wound care specialist critical care access, or  
3 even if Upstream had a dedicated wound physician  
4 who was able to do consultations weekly or based  
5 on a risk stratification, high risk was once a  
6 week, and then maybe, you know, lower encounters  
7 needed, part of the problem is, is when you're  
8 paying a DRG or a bundled payment we had great  
9 success in the home care setting with this. But  
10 Intermountain brunted the cost of having us go  
11 into the home with the home care nurses who were  
12 also doing wound care. Similar model but we were  
13 able to do data analysis and actually publish  
14 abstracts to show that we had significant  
15 reduction of utilization of admissions, also  
16 bringing folks into the outpatient clinics.

17 So if we had paraplegics who  
18 couldn't come in and we were able to go to the  
19 home and do debridements and notice infection,  
20 and work with our home care nurses, we could do  
21 the prescriptions. And even the scope issue,  
22 most PTs are allowed to do a level of

1 debridement that doesn't get into viable tissue.  
2 But some don't have the comfort level of doing  
3 it.

4 But when you are there walking them  
5 through a super -- you know, a sharp or  
6 superficial debridement it becomes more  
7 effective.

8 So, I think there are modifications  
9 that need to be done, or at least introduced. I  
10 think this is a worthy model, very worthy. And  
11 if there is a way -- and I know CMS has  
12 introduced new telehealth billing codes to allow  
13 for more variation or expanding the utilization  
14 of this, but we still run into the fact that,  
15 like, with home care coming in at the same time  
16 there is not a code that allows for a  
17 simultaneous consult. So that's something that  
18 would have to be addressed.

19 And then the sense of hospice. A  
20 lot of hospice care, you know, there's codes for  
21 that. So a GW, a GV or a GW, I think that could  
22 be another level of, say, risk, you know, risk



1 associated with the cost. So if someone is now  
2 put into hospice it's really kind of back --  
3 even though complicated, it's back to simple  
4 basics: just comfort, and making sure that  
5 we're not going overboard.

6 So this is, you know, so I'm, I feel  
7 from a practicing clinician, someone who is  
8 really a proponent for population as well as  
9 supporting the fee-for-service side at the same  
10 time, this model fits that. It's something that  
11 we need to think about moving forward.

12 And I appreciate the time and  
13 consideration. Thank you.

14 CHAIR BAILET: Thank you for your  
15 comments. Appreciate it.

16 Is there anyone on the phone?

17 DR. TETTELBACH: One other thing was  
18 the Q codes with this. They need to be expanded  
19 to allow because there are basically data that  
20 support, there is, there is published data on  
21 the cellular or acellular products that are  
22 bioactive that actually improve outcomes. As

1 long as the wound bed is appropriately prepared,  
2 say a debridement was done by a primary care doc  
3 and they went back to the PT, anyone can put  
4 this on as long as the wound bed is prepared.

5 And so that's the other statement on  
6 this. I think the advanced tissues is actually  
7 a good point on this, so keep the patient at  
8 home, conserve on transportation costs. But  
9 there has to be confirmation that it's ready for  
10 that. It's not effective if the wound bed's not  
11 ready for it.

12 Thank you.

13 CHAIR BAILLET: Thank you.

14 No other commenters? All right.

15 Turn to my committee colleagues.

16 Are we ready to vote? Any deliberation? I'm  
17 just calling for -- Harold?

18 MR. MILLER: Yes. I guess an issue  
19 that I'm sort of struggling with based on the  
20 answer to my question earlier is we might have  
21 evaluated this model differently. Can't say for  
22 sure because we didn't do it. But I -- a lot of

1 the concerns were related to the idea that this  
2 is going to be open-ended, anybody with a wound  
3 coming in.

4           And if there had been sort of a  
5 eligibility criteria at the beginning that said  
6 that this was for patients with significant  
7 functional limitations due to whatever, and that  
8 had a wound that would potentially preclude good  
9 outcomes and to enable physical therapists to be  
10 able to deliver additional services to do that,  
11 we might have said, well, wow, this is pretty  
12 good because, see, you're having, you're adding  
13 an outcome measure to this, to the payment, and  
14 measuring functional outcomes, and patient  
15 satisfaction and everything else. A lot, not  
16 all, but a lot of our concerns are really driven  
17 by the fact that this could be attracting  
18 patients who might otherwise go to someplace  
19 better or who might think that this is the full  
20 solution to their problems.

21           And some of those issues still  
22 exist, but they're mitigated to me at least

1 personally, dramatically if you would have kind  
2 of a limitation at the beginning.

3 And so I'm just, I don't know quite  
4 what it means, but I think differently about how  
5 do I evaluate the model if I think that one  
6 change to it, and again it's a change to the  
7 model, but it would be an eligibility limitation  
8 would have significantly mitigated some of the  
9 concerns about it.

10 CHAIR BAILET: Thank you, Harold.

11 Any other comments before we start  
12 the voting process?

13 (No audible response.)

14 \* **Voting**

15 CHAIR BAILET: All right, let's go  
16 ahead. And just wanted to make up, so Rhonda  
17 Medows who is still on the phone, may still be  
18 on the phone, she's going to abstain from  
19 voting. So just so we know what the count is,  
20 appropriate count. And we're going to go ahead  
21 and get started.

22 If you could flash up the first

1 criterion.

2 So, 1 and 2 means don't -- it does  
3 not meet against the criterion; 3 and 4 is  
4 meets; and 5 and 6 meets with and deserves  
5 priority consideration.

6 \* **Criterion 1**

7 So, the first criterion is scope.  
8 It's a high priority item aimed to either  
9 directly address an issue in payment policy that  
10 broadens and expands the CMS APM portfolio, or  
11 include APM entities whose opportunity to  
12 participate in APMs has been limited.

13 So let's go ahead and vote, please.

14 MS. PAGE: Two members voted 6,  
15 meets and deserves priority consideration. One  
16 member voted 5, meets and deserves priority  
17 consideration. Four members voted 4, meets. Two  
18 members voted 3, meets. One member voted 2,  
19 does not meet. And zero members voted 1, does  
20 not meet.

21 The majority has found that the  
22 proposal meets Criterion 1, scope.

1 CHAIR BAILET: Thank you, Ann.

2 \* **Criterion 2**

3 The second criterion is quality and  
4 cost. High priority criterion anticipated to  
5 improve healthcare quality at no additional  
6 costs, maintain healthcare quality while  
7 decreasing costs, or both improve healthcare  
8 quality and decrease costs.

9 Please vote.

10 MS. PAGE: Zero members voted 5 or  
11 6, meets and deserves priority consideration.  
12 One member voted 4, meets. Zero members voted  
13 3, meets. Nine members voted 2, does not meet.  
14 And zero members voted 1, does not meet.

15 The majority finds that the proposal  
16 does not meet Criterion 2.

17 CHAIR BAILET: Thank you, Ann.

18 \* **Criterion 3**

19 And Criterion 3 is payment  
20 methodology, high priority criterion. Pay the  
21 APM entities with a payment methodology designed  
22 to achieve the goals in the PFPM criteria.

1       Addresses in detail through this methodology how  
2       Medicare and other payers, if applicable, pay  
3       APM entities, and how the payment methodology  
4       differs from current payment methodologies, and  
5       why the physician-focused payment model cannot  
6       be tested under current payment methodologies.

7                   Please vote.

8                   MS. PAGE:   Zero members voted 5 or  
9       6, meets and deserves priority consideration.  
10       One member voted 4, meets.   Two members voted 3,  
11       meets. Seven members voted 2, does not meet.  
12       Zero members voted 1, does not meet.

13                   The committee finds that the  
14       proposal does not meet Criterion 3, payment  
15       methodology.

16       \*            **Criterion 4**

17                   CHAIR BAILLET:   Criterion 4, value  
18       over volume, provide incentives to practitioners  
19       to deliver high quality healthcare.

20                   Please vote.

21                   MS. PAGE:   Zero members voted 5 or  
22       6, meets and deserves priority consideration.

1 One member voted 4, meets. Nine members voted  
2 3, meets. And zero members voted 1 or 2, does  
3 not meet.

4 The majority finds that the proposal  
5 does meet Criterion 4, value over volume.

6 CHAIR BAILET: Great.

7 \* **Criterion 5**

8 Criterion 5 is flexibility, provide  
9 the flexibility needs for practitioners to  
10 deliver high quality healthcare.

11 Please vote.

12 We're missing, still missing one  
13 person.

14 All right.

15 MS. PAGE: Zero members voted 5 or  
16 6, meets and deserves priority consideration.  
17 Five members voted 4, meets. Five members voted  
18 3, meets. And zero members voted 1 or 2, does  
19 not meet.

20 The majority finds that the proposal  
21 meets Criterion 5.

22 \* **Criterion 6**



1 CHAIR BAILET: Criterion 6, ability  
2 to be evaluated, have evaluable goals for  
3 quality of cost care -- quality of care cost and  
4 other goals of the PFPM.

5 Please vote.

6 MS. PAGE: Zero members voted 6,  
7 meets and deserves priority consideration. One  
8 member voted 5, meets and deserves priority  
9 consideration. Three members voted 4, meets.  
10 Five members voted 3, meets. One member voted  
11 2, does not meet. And zero members voted 1,  
12 does not meet.

13 The majority finds that the proposal  
14 meets Criterion 6.

15 CHAIR BAILET: Thank you, Ann.

16 \* **Criterion 7**

17 And Criterion 7, integration and  
18 care coordination, encourage greater integration  
19 and care coordination among practitioners and  
20 across settings where multiple practitioners or  
21 settings are relevant to delivering care to  
22 populations treated under the PFPM.

1                   Please vote.

2                   MS. PAGE:   Zero members voted 6,  
3                   meets and deserves priority consideration.  One  
4                   member voted 5, meets and deserves priority  
5                   consideration.  One member voted 4, meets.  One  
6                   member voted 3, meets.  Seven members voted 2,  
7                   does not meet.  And zero members voted 1, does  
8                   not meet.

9                   The majority finds that the proposal  
10                  does not meet Criterion 7.

11                  CHAIR BAILET:  And I would ask,  
12                  given the diversity of opinion here on this one,  
13                  do we want to talk about this or should we move  
14                  on?

15                  All right, like I said, we're going  
16                  to keep going.

17                  Okay.  Well, just checking, Len.

18                  \*               **Criterion 8**

19                  Yeah, Criterion Number 8 is patient  
20                  choice, encourage greater attention to the  
21                  health of the population served while also  
22                  supporting the unique needs and preferences of

1 individual patients.

2 MS. PAGE: Zero members voted 6,  
3 meets and deserves priority consideration. One  
4 member voted 5, meets and deserves priority  
5 consideration. Six members voted 4, meets.  
6 Three members voted 3, meets. And zero members  
7 voted 1 or 2, does not meet.

8 The majority finds that the proposal  
9 meets Criterion 8.

10 \* **Criterion 9**

11 CHAIR BAILET: All right. Criterion  
12 9 is patient safety, aims to maintain or improve  
13 standards of patient safety.

14 Please vote.

15 MS. PAGE: Zero members voted 5 or  
16 6, meets and deserves priority consideration.  
17 Zero members voted 4, meets. Six members voted  
18 3, meets. Four members voted 2, does not meet.  
19 Zero members voted 1, does not meet.

20 The majority finds that the proposal  
21 meets Criterion 9, patient safety.

22 \* **Criterion 10**

1 CHAIR BAILET: And the last,  
2 Criterion 10, which is health information  
3 technology, encourages the use of health  
4 information technology to inform care.

5 Please vote.

6 MS. PAGE: Zero members voted 5 or  
7 6, meets and deserves priority consideration.  
8 Zero members voted 4, meets. Three members  
9 voted 3, meets. Six members voted 2, does not  
10 meet. And one member voted 1, does not meet.

11 The majority finds that the proposal  
12 does not meet Criterion 10.

13 CHAIR BAILET: Thank you, Ann. If  
14 you want to just summarize for us, please.

15 \* **Overall Vote**

16 MS. PAGE: Yes. The committee finds  
17 that the proposal meets six of the 10 criteria.

18 The four criteria that it does not  
19 meet are Number 2 pertaining to quality and  
20 cost; Number 3, payment methodology; Number 7,  
21 integration and care coordination; and Number  
22 10, health information technology.

1 CHAIR BAILET: All right, thank you,  
2 Ann.

3 Any comments from the committee  
4 members before we move to the next phase?

5 (No audible response.)

6 \* **Instructions on Report to Secretary**

7 CHAIR BAILET: Okay. So this is  
8 where we're making the recommendation to the  
9 Secretary. There's two parts to it.

10 The first part is deciding whether  
11 it's not recommended as a PFPM for  
12 implementation recommended. And we're going to  
13 vote additionally if that's the case. Or  
14 referred for other attention by HHS.

15 So, same lens applies. I guess the  
16 same approach applies as we did this morning.  
17 So if we could just go ahead and vote now.  
18 Thank you.

19 (Voting.)

20 CHAIR BAILET: Ann.

21 MS. PAGE: Zero members voted to  
22 refer for other attention by HHS. One member

1 voted to recommend the proposal. And nine  
2 members voted not to recommend the proposal for  
3 implementation as a PFPM.

4 So that does meet the two-thirds  
5 majority criteria, so the decision is to not  
6 recommend it to the Secretary for implementation  
7 as a PFPM.

8 CHAIR BAILLET: We're now going to go  
9 around the room for comments. And include  
10 precise comments that you would like  
11 incorporated in the letter, and share how you  
12 voted.

13 Starting, Angelo, why don't we start  
14 with you.

15 DR. SINOPOLI: Sure. Because I'm  
16 the other Southern accent here on the table in  
17 committee.

18 So, first of all I'd like to comment  
19 that I actually like the model. And I think the  
20 comments made earlier about how this could fit  
21 into a bigger wound care model and the ability  
22 to leverage other healthcare workers in the care

1 of wound care is important and significant.

2 And so, although I voted not to  
3 recommend, I do think the Secretary needs to  
4 hear that this is an important piece of a more  
5 integrated care model. And as we mentioned to  
6 the other wound group this morning, if you can  
7 figure out how to propose something that is  
8 broader and more inclusive, then I think that  
9 would bring a lot of value to the industry  
10 today, so.

11 CHAIR BAILET: Jennifer.

12 DR. WILER: Again I'd like to thank  
13 the presenters for bringing up a challenging  
14 issue that's currently not being addressed  
15 within the fee schedule, and really being  
16 innovative in using what your organizations'  
17 best practices are to help figure out how to  
18 scale that nationally. So thank you for doing  
19 that.

20 I will refer to my comments from  
21 earlier today, although will repeat only a  
22 handful of them if there are members of the

1 public who weren't present before. And that's  
2 this idea that the committee described in-depth  
3 this morning about a care model really needing  
4 to be described so that a payment model could be  
5 ascribed to that body of work. That's just  
6 critically important.

7 And a number of the stakeholders are  
8 here in this room today, and it is my personal  
9 hope, and I think the committee's hope, that  
10 your groups will get together and really work to  
11 describe what does best practice look like for  
12 these patients so that we can better understand  
13 how we can incent from a payment model  
14 perspective how to do the right thing for the  
15 care of Medicare beneficiaries.

16 My other comment, and we said this  
17 this morning but I will repeat it now, is that  
18 it seems this rural care issue is one that is  
19 unique and we should call it specifically in the  
20 letter because a scalable payment model might  
21 not address that issue and might need a  
22 different solution, as it has with other payment



1 models. So I'd like to call that out.

2 Thank you.

3 CHAIR BAILLET: Thanks, Jen.

4 Paul.

5 DR. CASALE: I also voted not  
6 recommend. And, again, I would also reflect on  
7 comments I made earlier today, and made by  
8 others, certainly around the multidisciplinary  
9 approach. And I think this also, so I think, I  
10 think the idea of bringing others into the --  
11 being sure that it's truly multidisciplinary is  
12 really critical. And as we pointed out, this is  
13 a very complicated patient group.

14 And so, as Grace always points out,  
15 and now she has a Rubik's cube around care  
16 models, payment models, and there's also the  
17 population. So, defining the populations of  
18 patients who would fall under the care model.  
19 And as Jennifer pointed out, you know, last time  
20 it was bimodal. It could be tri. There's  
21 multiple populations, some of which this model  
22 would fit under. And then we've already brought

1 up some others where it wouldn't apply, again  
2 reflecting the complexity of this group of  
3 patients.

4 So emphasizing that I think to the  
5 Secretary, and also what we've already  
6 reiterated around developing a model amongst the  
7 various constituents who provide care for this  
8 group.

9 CHAIR BAILET: Bruce.

10 MR. STEINWALD: I also voted Number  
11 1, although I think there were a number of  
12 admirable qualities to the proposal. And I also  
13 think that its emphasis on functioning is indeed  
14 appropriate.

15 But I also think that the ultimate  
16 approach that we're looking for is  
17 multidisciplinary where we're neutral with  
18 respect to the nature of the provider. What  
19 we're not neutral about is we want it to be the  
20 right service, provided by the right provider at  
21 the right time. It's both efficient and enhances  
22 quality and prevention of wounds from not

1 healing.

2 CHAIR BAILET: Thank you, Bruce.

3 I, too, voted not recommend. But I  
4 want to be clear, that's not a rejection. We  
5 have the position, you heard Adam Boehler speak  
6 earlier, we're here to help influence the  
7 process and evaluate these proposals with the  
8 hope that they will actually ultimately be  
9 implemented.

10 And so I know your group has done  
11 tremendous work in creating this proposal. More  
12 importantly, you do tremendous work every half  
13 day taking care of the patients with wound care.

14 So I applaud the fact that you're putting this  
15 in a very precise way relative to your specialty  
16 and how to address this population. And I  
17 compliment you for your efforts.

18 And what we are going to do is we  
19 want to make a recommendation to the Secretary  
20 that puts this in the appropriate frame for them  
21 to address this issue with you and other  
22 stakeholders who were in the room today and are

1 represented by association members who are here  
2 as well, to put together a comprehensive wound  
3 care new payment model that will actually be  
4 effective and can be implemented, and can be  
5 measured, and meets the criteria that you just  
6 saw us review.

7           So what we, I guess my final comment  
8 would be this is a -- in a lot of these  
9 instances because of the complexity of the  
10 disease and the care that we're trying to  
11 provide, it's tough to bite this off in one  
12 shot. But you have -- hopefully, you're hearing  
13 the committee support the need for this to get  
14 wrestled to the ground and put out effectively a  
15 new payment model to take care of the patients  
16 that are behind this model.

17           And so my comments earlier, there's  
18 a disconnect today between the way the payment  
19 is delivered and the care that's needed. And  
20 that's a barrier to providing the care. And  
21 your proposal highlights some of that effort.

22           And so what we know is there is more

1 work to do. And we hope that if the  
2 stakeholders can get together and take the  
3 feedback that was shared today, but also shared  
4 from there's a lot of, a lot of folks working on  
5 this problem. And I've heard from Adam Boehler  
6 himself that they, too, see the need to put a  
7 model on the ground out in the field that is  
8 effective.

9 So, I think it's coming but it is  
10 not going to happen in the model as it's  
11 currently proposed. Thank you.

12 Grace.

13 VICE CHAIR TERRELL: I voted not to  
14 recommend, but it was a toss-up between  
15 recommend and not recommend. And I went with not  
16 recommend, mostly because I think the scope and  
17 scale of this is too small relative to the  
18 conversation, and that this is part of a  
19 solution that we need to make sure that actually  
20 gets out there.

21 And part of the way that PTAC has  
22 been constructed, you heard about that earlier

1       today, is that we're supposed to just evaluate  
2       what's in front of us and make recommendations  
3       to the Secretary. There were many things in  
4       this proposal that nobody else has done, and you  
5       did it well in that you were focused on  
6       accountability for outcomes. You came up with  
7       payment that was correlated and connected with  
8       models of care around that. And you did it in  
9       ways that were creative and unique that we  
10      haven't seen before.

11                So it was really hard for me not to  
12      vote for it. But it's only because I want a  
13      bigger win. And I'm afraid because of the scope  
14      and scale of our committee's, you know, mandate  
15      that if we just say, yeah, do this, that it  
16      actually will die. And what I want it to  
17      actually do is not die but be part of a larger  
18      solution that involves a comprehensive solution  
19      for wound care that takes into account all the  
20      things that we have been discussing all day.

21                This could be the model, the disease  
22      model if you will, or the problem, that solved

1 more than just this throughout the healthcare  
2 ecosystem because it requires multiple people  
3 for a complex problem that the payment system  
4 right now doesn't work for at all. And it may  
5 be big enough to actually get CMMI and  
6 Medicare's attention but may be small enough  
7 that they'll actually, you know, give some  
8 thoughtful design around it in a way that can be  
9 successful.

10 So I'm hoping that when you heard  
11 what Adam said today about the types of things  
12 that they are prioritizing right now in the  
13 administration such as providers being  
14 accountable, payment for outcomes, prevention,  
15 payment for successful episodes, that you  
16 realize how much of that was in your proposal  
17 relative to some of the others we've seen  
18 through the years, and how important this is  
19 that we get it right.

20 So I'm going to go ahead and make a  
21 recommendation for that we're going -- that we  
22 need a larger report that involves the entire

1 conversation in both models today where we can  
2 make this point so that the appropriate action  
3 occurs. And as part of that report I am, I'm  
4 going to again reiterate that getting all the  
5 stakeholders together, creating a recommendation  
6 that it may be a white paper, it may be a group  
7 that gets together that convenes and says, we've  
8 got this, we're going to, we're going to work on  
9 one of the biggest under-recognized problems in  
10 healthcare and Medicare, and fix it together,  
11 would be an extraordinary win.

12 And so I hope your leadership will  
13 continue in that way.

14 CHAIR BAILET: Len.

15 DR. NICHOLS: So I would like us to  
16 think about having three dimensions of sort of  
17 what to say. I voted not to recommend as well.  
18 And the three dimensions are what we could do  
19 for rural.

20 I heard a crisis in the rural. I  
21 grew up in rural, so I can relate. And I can  
22 definitely relate to people not getting what



1 they need because it's too far to go and takes  
2 too long, we'll just go home and change the  
3 bandage with Cousin Sally. And it ain't going to  
4 work.

5 So here we are.

6 So, rural should be addressed  
7 distinctly and perhaps immediately. And I'm  
8 going to say, what we could do now, which is  
9 payment, which is actually access to payment  
10 code for different providers. And maybe, maybe  
11 some simple payment code changes.

12 And then the third is obviously the  
13 nirvana of the optimal wound care dream. And I  
14 would just say this may be one rare case when  
15 the perfect is the friend of the good. Because  
16 I agree with you, Grace, if we recommended it as  
17 is it would get killed. And it would be better  
18 to make it stronger. And I believe it would be  
19 stronger if Upstream Rehabilitation is involved  
20 in all these people that we've been talking  
21 about getting together.

22 And that guy over there with the

1 grey hair who worked at Intermountain, he's got  
2 to be involved, too. So there I'll stop.

3 CHAIR BAILET: Thank you, Len.

4 Kavita.

5 DR. PATEL: Thank you. I also voted  
6 not to recommend. And I'll just kind of say for  
7 the report, I agree, we should combine this  
8 morning and this afternoon's in some way to show  
9 that we think that this is not just two --  
10 they're two different proposals but similar  
11 issues.

12 I just want to make sure the record  
13 reflects something around the feedback that  
14 Harold was kind of getting to when he kind of  
15 asked the proposal submitters if there were to  
16 have been certain defined triggers. And so I  
17 think there are modifications that could  
18 potentially improve even the proposal, and then  
19 thinking about combining that to make it more  
20 feasible.

21 And then the second piece, there was  
22 some back and forth we had as a PRT with the

1       submitters about this concept that Krisi alluded  
2       to around, you know, you wouldn't tell a primary  
3       care physician, you know, when to send someone  
4       to the surgeon if they needed something  
5       surgical.

6                   So I think what she's getting at is  
7       that there are standards of practice that  
8       everyone has to adhere to kind of within their  
9       training and their licensure, but I think there  
10      was a feeling, and certainly we had some  
11      feedback from the public, that there should be  
12      some definitions around that. And all we  
13      probably need to do is be more clear about that  
14      in any language.

15                   And then the third is I think this  
16      taught me, I was the token physician on the PRT,  
17      and I was commenting, I feel like it's been  
18      months ago, Bruce and Harold and I were talking  
19      about kind of what the pitter--patter of getting  
20      a physical or occupational therapist who's  
21      involved. And I said that, you know, usually  
22      it's a little bit of like a hot potato where I

1 say, okay, let's just send them to PT/OT, and I  
2 do this blanket referral. And I'm praying on the  
3 other end that you get people half as smart as  
4 the people who put this proposal together.

5 But I would offer that, you know,  
6 probably none of us can really appreciate the  
7 really complex work that is done. And, if  
8 anything, I think I heard from our CMS  
9 colleagues on various conversations that they,  
10 too, feel like this is a "priority area." But I  
11 would submit that this is an area that, unlike  
12 other ones, primary care, kidney care, cancer  
13 care, this is one where we need a lot more  
14 education. And I would say that that's  
15 respectfully also true of our CMS colleagues,  
16 and HHS as well more largely. They probably  
17 under this roof don't have anywhere near the  
18 PT/OT expertise.

19 So I would encourage the Secretary  
20 from his team somewhere to Adam's team to reach  
21 out to the submitters of this morning and this  
22 afternoon's proposal to actually offer kind of a

1 convening of sorts in understanding exactly what  
2 are we talking about, like what is a practical  
3 experience of a physical therapist, or an  
4 occupational therapist, or a hyperbaric  
5 physician, or any of these people who deal with  
6 patients that are often kind of an end referral  
7 of sorts but aren't necessarily something that  
8 most of us have experience with.

9 CHAIR BAILET: Thanks, Kavita.

10 Tim.

11 DR. FERRIS: So I also voted to not  
12 recommend and would underscore what you said,  
13 Jeff, about that not being a rejection of the  
14 idea but more a reflection of the scope within  
15 we are asked to deliberate.

16 And I would also underscore all the  
17 other comments. I agreed with everything  
18 everyone said. I would add one comment, this is  
19 a reflection about our work, and the fact that  
20 it's interesting to me that, particularly in  
21 statute but also in our criteria, that access to  
22 services doesn't come up anywhere.

1           And, in fact, in the United States  
2           the United States has by far the best access to  
3           services of any country on the planet. And  
4           that's partially part of our problem. That's  
5           why we are being asked to address cost and  
6           quality.

7           But it is also true that in very  
8           specific areas -- and I'll highlight a couple --  
9           wound care being one, mental health obviously  
10          being another, where actually underfunding in  
11          our system does create an access problem. It's  
12          just that in our system it is, it's generally  
13          pretty delimited. And I would just ask us to  
14          maybe that's something that we should reflect on  
15          as a committee is what is the role of access,  
16          and specifically access deficiencies, in our  
17          deliberations?

18          I suppose one could throw it under  
19          quality, because you can always throw everything  
20          under quality. Or it could go under scope.

21          But I just highlight that this,  
22          reviewing this proposal has really highlighted

1 for me that issue.

2 The other one is a workforce issue.

3 And fundamentally what I hear going on, maybe  
4 incorrectly characterizing it, is basically  
5 expanding the scope of a certain set of  
6 professionals because they are in the right  
7 place at the right time to do this work.

8 So, expansion of scope is a fraught  
9 issue in all industries because of guild  
10 protectionism. And I would just say we -- and  
11 this is my own personal position here -- is that  
12 we should generally be -- look positively on  
13 expansion of scope. All the fearmongering  
14 associated with -- and I contribute to that  
15 fearmongering -- but associated with expansion  
16 of scope rarely plays out.

17 I think Krisi did an excellent job  
18 of highlighting the fact that it is your  
19 professional obligation to refer when it's time  
20 to refer. And that you -- you actually are  
21 putting your licensure at risk to not do that,  
22 and potentially personal financial peril.

1           So there are checks in place in the  
2 system. But in general, expanding scope such as  
3 in Europe pharmacists can prescribe. We don't  
4 allow that here. In other countries nurses have  
5 much more expanded scope than here. I think in  
6 general our solutions to our healthcare cost  
7 crisis are going to involve expansion of scope  
8 of the activities of professionals that are  
9 currently hindered by guild protectionist  
10 issues.

11           So I'd just highlight those two meta  
12 issues that came across strongly in my, in this  
13 excellent presentation.

14           CHAIR BAILET: Thank you, Tim.

15           Harold, take us home.

16           MR. MILLER: Well, I had the same  
17 struggle that Grace had, but I came down in the  
18 opposite way. I was the lone vote to recommend.

19           And I voted that way not because I  
20 disagree with most of what anybody has said so  
21 far, I absolutely believe that there needs to be  
22 a bigger approach to wound care and that we



1       should encourage all of the stakeholders to get  
2       together, including those from Upstream. But I  
3       don't -- I am concerned, I guess, that it's a  
4       big issue and it will take a while to be able to  
5       get to some kind of broader solution.

6               And I am worried that what may come  
7       out of that is a big, risk adjusted total cost  
8       of care bundle for wound care that may end up  
9       actually not working very well in some of the  
10      communities where access is limited.

11             And what I saw here is something  
12      that could be ready to go much more quickly and  
13      that could actually address with a much narrower  
14      area, but something that exists today, and where  
15      PTs/OTs might be available to do something in  
16      some of those areas that they can't do today.

17             I kind of viewed it as inappropriate  
18      for a recommendation that I would then have  
19      voted for a limited scale testing model because  
20      in many other cases we have had models that we  
21      thought were -- had problems. But if the  
22      problems could be resolved with a fairly clear,

1 simple change then we'd lean toward recommending  
2 them in several cases. And in this case it  
3 seemed to me based on Krisi's response to my  
4 questions that, in fact, narrowing the model's  
5 eligibility would be one simple way to be able  
6 to make that worthwhile.

7           And if we actually had physical  
8 therapists come in and say we simply want to be  
9 able to deliver wound care, and we're going to  
10 take accountability for outcomes and everything  
11 else, we would have said that's really great.  
12 And I -- I think we would have said that's  
13 really great. And I'm really disappointed that  
14 we can't sort of encourage that to move along  
15 further through a recommendation. But I hope  
16 that we can do that through the report and not  
17 have some testing of this model have to wait  
18 until the big thing gets done.

19           Because I agree with Tim, I think  
20 that this is a perfect case where a fairly  
21 limited expansion of scope, if in fact it's not  
22 turned into be comprehensive wound care for

1 everybody, but to be able to expand the ability  
2 of physical therapists to provide essentially  
3 two services rather than one, and two services  
4 that are related to each other, I think that  
5 actually could fairly quickly improve outcomes,  
6 et cetera. And I would like to see that be able  
7 to move forward on its own quickly.

8 So, I hope that we can sort of make  
9 it clear that this could be one piece of a  
10 broader solution, not simply one big model, but  
11 that a comprehensive approach to wound care  
12 could have this as being one component to it.

13 CHAIR BAILLET: Thank you, Harold.

14 We do need to, I think it would be  
15 helpful to clarify. Grace mentioned combining  
16 into one letter. Tim, you agreed. But I think  
17 it would nice if the -- I'd like to have  
18 directional sense, is the committee supportive  
19 of combination and actually having a combined  
20 letter just by -- I see everybody's head nod.

21 MR. MILLER: I agree.

22 CHAIR BAILLET: Does anybody not

1 support that?

2 (No audible response.)

3 CHAIR BAILLET: So, it sounds like  
4 it's unanimous.

5 We were pretty precise in our  
6 conversation this morning in our comments. And  
7 I think we just carried that through for the  
8 second session.

9 I guess at this point I'd turn to  
10 you, Ann. Is there anything else procedurally  
11 that we need to do before we adjourn today?

12 Oh. Grace? Why don't you do that  
13 real quick, Grace, and then we'll turn to you,  
14 Ann.

15 VICE CHAIR TERRELL: So in our  
16 administrative sessions PTAC has been having a  
17 conversation about how we could improve or how  
18 we could actually improve our impact.

19 The legislation that put this in  
20 place, I think this was one of the most genius  
21 things to ever come out of Congress recently  
22 because we get the incredible good work of

1 people that are stakeholders like, like all of  
2 you. And then we get the thoughtful  
3 conversation in public like we've had today.

4           And what I've heard from Adam  
5 Boehler today, and he said it publicly, is how  
6 much that's actually impacting, you know, what  
7 they're doing from a policy point of view.  
8 Based upon what he said that there are getting  
9 ready to be some models to come out where we may  
10 actually see what that means in terms of how it  
11 impacts models of care or new payment models  
12 that are coming out, we had been thinking that  
13 June may well be a very good time to have a  
14 meeting that will focus on these broader issues.

15           There was a paper that came out in  
16 Health Affairs that our former colleague Bob  
17 Berenson and Paul Ginsburg just did where they  
18 were thinking about how PTAC could have a  
19 different role. It might be a very useful time  
20 for all of us to say, okay, here's where we are.

21       Here's where things have been. Here's the  
22 outcome. Now what could we be?

1           So we believe that there may well be  
2 the opportunity to have that in public in June.

3           There will certainly be announcements about  
4 that. Any of you all who have been through the  
5 process that wants to participate, either in  
6 commentary or public, as we design this out, we  
7 encourage you to do so. But, you know, today I  
8 believe is a perfect example of what is  
9 possible. But we need to make sure that the  
10 actual overall outcome of that is actually what  
11 we're all working so hard to achieve.

12           CHAIR BAILET: Thank you, Grace.

13           Len?

14           DR. NICHOLS: So I don't want to  
15 give ASPE too much instruction because they make  
16 us look a lot smarter than we are. And I'll  
17 just leave them alone. But I did want to  
18 suggest that when we combine these letters we  
19 start with what's in common, or the big picture  
20 stuff. And then have a specific section for  
21 each one.

22           Because I think it is precisely

1 describing to the Secretary the commonality of  
2 the big picture here that's the value of  
3 combining them. I just wouldn't want to get lost  
4 in making sure of that.

5 CHAIR BAILLET: Yes. I agree, Len.

6 Ann, anything else procedurally  
7 before we adjourn?

8 MS. PAGE: No. I think the  
9 conversation that you all have had amongst  
10 yourself as well as with the submitters, and as  
11 the public comments and testimony that we got, I  
12 think was very rich. And so we typically base  
13 this, you know, when we get the transcript so we  
14 have a strong record of everything that's said.

15 I think we do have precedent of a  
16 former joint report that we sent to the  
17 Secretary which I think worked pretty well. And  
18 I agree to start out with here is what is in  
19 common, and here are some strong points in  
20 particular, and then here were some areas of  
21 concern, and then an overall message, you know,  
22 what, what we think should be the next steps.

1           \*           **Adjourn**

2                       CHAIR BAILET: All right. So, I  
3 want to thank my committee colleagues, Harold on  
4 the phone, for sticking with it, and the  
5 submitters and the public commenters as well,  
6 and everyone on the phone.

7                       Thank you all. We're going to  
8 adjourn.

9                       (Whereupon, the above-entitled  
10 matter went off the record at 2:41 p.m.)



C E R T I F I C A T E

This is to certify that the foregoing transcript


In the matter of: Public Meeting

Before: PTAC Advisory Committee

Date: 03-11-19

Place: Washington, DC

was duly recorded and accurately transcribed under my direction; further, that said transcript is a true and accurate record of the proceedings.

  
-----  
Court Reporter

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701