

PHYSICIAN-FOCUSED PAYMENT MODEL TECHNICAL
ADVISORY COMMITTEE (PTAC)

+ + + + +

PUBLIC MEETING

+ + + + +

The Great Hall
The Hubert H. Humphrey Building
200 Independence Avenue, S.W.
Washington, D.C. 20201

+ + + + +

FRIDAY, MARCH 3, 2023

PTAC MEMBERS PRESENT

LAURAN HARDIN, MSN, FAAN, Co-Chair
ANGELO SINOPOLI, MD, Co-Chair
LINDSAY K. BOTSFORD, MD, MBA
LAWRENCE R. KOSINSKI, MD, MBA*
JOSHUA M. LIAO, MD, MSc
WALTER LIN, MD, MBA
JAMES WALTON, DO, MBA
JENNIFER L. WILER, MD, MBA

PTAC MEMBERS IN PARTIAL ATTENDANCE

SOJANYA R. PULLURU, MD*

PTAC MEMBERS NOT PRESENT

JAY S. FELDSTEIN, DO
TERRY L. MILLS JR., MD, MMM

STAFF PRESENT

LISA SHATS, Designated Federal Officer (DFO),
Office of the Assistant Secretary for
Planning and Evaluation (ASPE)
AUDREY McDOWELL, ASPE
STEVEN SHEINGOLD, PhD, ASPE

*Present via Webex

A-G-E-N-D-A

Opening Remarks.....	3
Welcome and Co-Chair Overview - Discussion on Improving Care Delivery and Integrating Specialty Care in Population-Based Models Day 2.....	3
PTAC Member Introductions.....	4
Listening Session 2: Developing Financial Incentives.....	8
- Kevin Bozic, MD, MBA; Ami B. Bhatt, MD, FACC; Judy Zerzan-Thul, MD, MPH; Christina Borden; and Brian E. Outland, PhD	
Roundtable Physician Panel Discussion: Enhancing Specialty Integration.....	94
- John Birkmeyer, MD; Nichola Davis, MD, MS; Carol Greenlee, MD, MACP; Jackson Griggs, MD, FAAFP; and Art Jones, MD	
Public Comment Period.....	167
Committee Discussion.....	175
Closing Remarks.....	205
Adjourn.....	205

P-R-O-C-E-E-D-I-N-G-S

9:01 a.m.

* CO-CHAIR HARDIN: Good morning and welcome to day two of this public meeting of the Physician-Focused Payment Model Technical Advisory Committee, known as PTAC.

My name is Lauran Hardin, and I am one of the co-chairs of PTAC along with Angelo Sinopoli.

* **Welcome and Co-Chair Overview - Discussion on Improving Care Delivery and Integrating Specialty Care in Population-Based Models Day 2**

Yesterday we began our day with opening remarks from the CMS¹ Deputy Administrator and CMMI² Director Liz Fowler, and she offered some context on her -- how her work fits into the Centers' vision. We also had several guest presenters share their ideas on how integrating specialty care in population-based models can help us to move toward a patient-centered health care system.

1 Centers for Medicare & Medicaid Services

2 Center for Medicare and Medicaid Innovation

1 Today we have a great lineup of
2 experts for today's listening session and our
3 physician roundtable discussion. We've worked
4 very hard to include a variety of perspectives
5 throughout the two-day meeting, including the
6 viewpoints of previous PTAC proposal submitters
7 who addressed relevant issues in their proposed
8 models.

9 Later this afternoon we have a
10 public comment period. As a reminder, public
11 comments will be limited to three minutes each.
12 If you have not registered to give an oral
13 public comment but would like to, please email
14 ptacregistration@norc.org. Again, that's
15 ptacregistratoin@norc.org.

16 Finally, the Committee will conclude
17 the day by shaping our comments for the report
18 to the Secretary of HHS³ that we will issue on
19 this topic.

20 * **PTAC Member Introductions**

21 Because we might have some new
22 people online who weren't able to join
23 yesterday, I'd like the Committee members to
24 please introduce themselves. Share your name,
25 your organization, and if you would like, you

3 Health and Human Services

1 can share a brief word about experience you may
2 have with population-based payment or total
3 cost of care models. I will cue each of you.

4 I'll start. I'm Lauran Hardin, Vice
5 President and Senior Advisor for National
6 Healthcare & Housing Advisors. I've spent the
7 last 20 years leading and innovating in value-
8 based payment models for complex and
9 underserved populations.

10 Angelo?

11 CO-CHAIR SINOPOLI: Thank you,
12 Lauran. Angelo Sinopoli. I'm a pulmonary
13 critical care physician by training, presently
14 the Chief Network Officer for UpStream, which
15 is a value-based company that supports primary
16 care physicians. Prior to that was the Chief
17 Clinical Officer for Prisma Health where I
18 developed our clinically-integrated network
19 over a number of years to 5,000 physicians, and
20 also was the founder of the Care Coordination
21 Institute, which was an enablement company to
22 support clinically-integrated networks. Thank
23 you.

24 DR. WILER: Good morning. I'm
25 Jennifer Wiler. I'm the Chief Quality Officer
26 at UHealth for our metro community. We're one

1 of the largest health care systems in the Rocky
2 Mountain area. I'm co-founder of UCHealth's
3 Care Innovation Center and a tenured professor
4 of emergency medicine at the University of
5 Colorado School of Medicine. And I was a co-
6 author of an Alternative Payment Model
7 considered by this Committee.

8 DR. LIAO: Good morning. My name is
9 Josh Liao. I'm an internist and faculty member
10 at the University of Washington where I also
11 serve as the Enterprise Medical Director for
12 Payment Strategy. I also lead a group that
13 works on evaluation and research related to
14 payment incentives and payment models.

15 DR. LIN: Good morning. Walter Lin.
16 I'm an internist in St. Louis, founder of
17 Generation Clinical Partners. We are a group
18 of medical providers that care for the
19 seriously ill and frail elderly in senior
20 living organizations.

21 DR. BOTSFORD: Good morning. I'm
22 Lindsay Botsford. I'm a family physician by
23 training and a Market Medical Director with One
24 Medical in Houston. I work at Iora Primary
25 Care where we take total cost of care for older
26 adults on Medicare.

1 DR. WALTON: Good morning. My name
2 is Jim Walton. I'm a retired general internist
3 and just recently retired from -- as a CEO of a
4 large IPA⁴ in Dallas, Texas. Presently working
5 as a consultant advisory role for Medicaid and
6 ACO REACH⁵ companies trying to achieve total
7 cost of care reductions.

8 CO-CHAIR HARDIN: And we have two
9 members online.

10 Chinni, please go ahead.

11 DR. PULLURU: Hi there. Chinni
12 Pulluru. I'm a family physician by trade. I
13 serve to lead clinical operations for the
14 Walmart Health Business. I'm the Chief
15 Clinical Executive of the Omnichannel Care
16 Delivery System. Prior to that I spent about
17 15 years leading one of the largest medical
18 groups in the country, particularly during
19 value-based care transformation across the U.S.
20 continuum, as well as things that are care
21 delivery within that group. Thank you.

22 CO-CHAIR HARDIN: Thank you, Chinni.

23 Larry, please go ahead.

24 DR. KOSINSKI: Good morning,

4 Independent practice association

5 Accountable Care Organization Realizing Equity, Access, and
Community Health

1 everybody. I'm Dr. Larry Kosinski. I'm a
2 gastroenterologist by training, and I've
3 practiced clinical gastroenterology for 35
4 years. Currently I am the founder and Chief
5 Medical Officer of SonarMD, a value-based care
6 program for patients with digestive diseases
7 which began as the first PTAC-recommended
8 physician-focused payment model back in 2017.
9 Look forward to today. Thank you.

10 CO-CHAIR HARDIN: Thank you, Larry.

11 And two of our members couldn't join
12 today: Lee Mills and Jay Feldstein. Both are
13 physician leaders in value-based payment and
14 innovation.

15 * **Listening Session 2: Developing**
16 **Financial Incentives**

17 CO-CHAIR HARDIN: So we're excited to
18 dive in today. I want to welcome the experts
19 for our first listening session. We have
20 invited five outside experts to present on
21 developing financial incentives in population-
22 based models. You can find their full
23 biographies posted on the ASPE PTAC website
24 along with their slides.

25 After all five have presented, our
26 Committee members will have plenty of time to

1 ask questions, so start thinking about what you
2 would like to ask.

3 Presenting first we have Dr. Kevin
4 Bozic who is a professor and Chair of the
5 Department of Surgery and Perioperative Care at
6 Dell Medical School at the University of Texas
7 in Austin.

8 Welcome and please begin, Kevin.

9 DR. BOZIC: Well, thank you and
10 thank you very much for including me. I've
11 always been an admirer of the work of PTAC and
12 have incorporated many of those principles into
13 our practice.

14 I bring the perspective of a
15 practicing orthopedic surgeon, a chair of a
16 large academic department, and the incoming
17 president of the American Academy of Orthopedic
18 Surgeons, although I'm not speaking on behalf
19 of the AAOS today, and have spent most of my
20 career designing, implementing, and evaluating
21 structural changes to the payment and delivery
22 system that incentivize and reward value, and
23 wanted to share a few of the things that we've
24 learned in our experience.

25 First of all, I think sometimes we
26 forget in payment model transformation that the

1 goal of the health system is to produce health.
2 And health care can be part, but it's certainly
3 not all of the solution. And that the changes
4 to the payment system are intended to change
5 behavior of the clinical teams that are
6 responsible for improving the health of
7 patients, but we've also learned along the way
8 that it can be a powerful driver of bringing
9 purpose and fulfillment to the health care team
10 that is mitigating the costly impact of
11 turnover and burnout. And we have some
12 evidence for that.

13 I think all of us know that the fee-
14 for-service system incentivizes volume-driven
15 care and really feels like a death spiral to
16 clinicians who feel like they have to run
17 faster on the gerbil wheel, and I would argue
18 that capitation makes us feel like we are
19 rationing care. And the sweet spot for us is
20 episode-based payment models for the management
21 of conditions. And that's what I wanted to
22 share with you this morning.

23 I think we believe that innovation
24 in care occurs not at the population level, but
25 at the condition level by teams of clinicians
26 that come together and innovate around the care

1 of chronic conditions such as diabetes, cancer,
2 cardiovascular disease, and in our case,
3 musculoskeletal conditions like arthritis and
4 back pain.

5 It can also be used to incentivize
6 the clinical team to organize around the needs
7 of the patient with particular conditions
8 rather than by physician specialty. An example
9 in my field is many patients with
10 musculoskeletal complaints are referred to
11 orthopedic surgeons, which never made sense to
12 me as an orthopedic surgeon who's been
13 practicing for 20 years why someone with
14 shoulder pain or knee pain would be referred to
15 an orthopedic surgeon. I know that if I wake
16 up with a headache, hopefully I'm not referred
17 to a neurosurgeon as my first stop.

18 And we also know that patients with
19 chronic musculoskeletal disease very commonly
20 suffer from comorbid anxiety, depression, and
21 other mental health issues, yet rarely if ever
22 are people who treat musculoskeletal disease
23 integrated in any way with people that know
24 anything about the treatment of those
25 conditions.

26 Similarly, about 60 to 70 percent of

1 patients with musculoskeletal disease also have
2 weight management issues, either overweight or
3 obesity, and yet rarely if ever do the people
4 who treat musculoskeletal disease integrate in
5 any way with anyone who knows anything about
6 the treatment of obesity.

7 So we believe that the payment model
8 can be used to incentivize the creation of, in
9 our case, a musculoskeletal medical home that
10 includes advanced practice providers with
11 specialty training in musculoskeletal disease,
12 physical therapists, chiropractors, dieticians,
13 and behavioral health trained social workers
14 who can deliver cognitive behavioral therapy,
15 and a few surgeons and other specialists that
16 are needed for the management of
17 musculoskeletal disease, but not very many.

18 We have in our field extensive
19 experience with bundled payments. We started
20 doing bundled payment around hip and knee
21 replacement in the mid-2000s. And after over a
22 decade of experience with that, Amol Navathe's
23 group published about several years ago that
24 over a decade of bundled payments for hip and
25 knee replacement, we had a -- we saw a 1.6
26 percent reduction in spending with, quote, no

1 detrimental impact on patient outcomes, which
2 for someone who spent a decade of my life
3 working on that, that was quite depressing. I
4 thought we could do better.

5 And what we've realized in the
6 process is when we bundle at the procedure
7 level, we make a -- fit procedures very, very
8 efficient. So done in the lowest acuity
9 setting that's safe, shorter lengths of stay,
10 minimize avoidable complications and
11 readmissions. And we completely ignore whether
12 or not that treatment is the most appropriate
13 treatment for that patient. And since much of
14 health care is preference-sensitive where
15 there's no right answer, incentivizing
16 efficient health care services and procedures
17 and ignoring whether the procedure is the most
18 effective and appropriate treatment in the
19 first place does not create value for patients.

20 So we've moved upstream to
21 condition-based payment models rather than
22 procedure-based, and this involves a single
23 annual payment for the management of a chronic
24 condition, including chronic joint pain and
25 back pain. It includes all the professional
26 services delivered over that defined period of

1 time, and we have accountability for outcomes
2 which makes it -- us different from capitation
3 in that we are responsible for measuring and
4 improving and delivering on improvement in
5 patient-reported outcomes. In that case, it
6 means measuring pain, functional status, and
7 quality of life.

8 It's always been surprising to me
9 that we perform over 1.1 million hip and knee
10 replacements a year in the United States, and
11 less than 5 percent of the time do we measure
12 the only thing that matters to our patients,
13 which is pain, functional status, and quality
14 of life. And yet no one thinks that's odd. No
15 one in the payment world and no one in the
16 clinical world thinks it's strange that we
17 don't measure the only thing, the only reason
18 we do that procedure in the first place. So we
19 need to measure outcomes from the patient's
20 perspective in order to understand whether
21 we're delivering value.

22 And after five years what we've seen
23 is about a 30 -- we've been -- implemented this
24 model five years ago. We've seen about a 30
25 percent reduction in the per capita spending
26 from initial diagnosis of a chronic

1 musculoskeletal ailment, including arthritis
2 and back pain. About a 30 percent reduction in
3 that annual spend and substantial -- about 90
4 percent of patients achieve a substantial
5 clinical benefit in terms of their self-
6 reported pain, functional status, quality of
7 life. And that's patients that are treated
8 both operatively and non-operatively.

9 So to summarize, I think episode
10 payments at the condition level not only result
11 in better health outcomes at a lower cost, but
12 by aligning our incentives with those of our
13 patients, they bring joy back into practice and
14 mitigate the devastating effects of physician
15 burnout. Our evidence for that is our practice
16 started five years ago, and in five years we've
17 lost exactly one member of our health care team
18 through the Great Resignation, through COVID,
19 through all of the turnover. And why? Because
20 people come to work every day, and they get to
21 do what they enjoy doing, and their incentives
22 are aligned with the patients that we are
23 privileged to treat.

24 So thank you for the opportunity to
25 be here this morning. I look forward to
26 hearing from the other presenters and to

1 getting into more detail in the discussion.

2 CO-CHAIR HARDIN: Thank you so much,
3 Kevin. Very interesting.

4 Next we'll hear from -- a
5 presentation from Dr. Ami Bhatt, who is Chief
6 Innovation Officer, American College of
7 Cardiology.

8 Please go ahead, Ami.

9 DR. BHATT: Great. Thanks so much
10 for having me.

11 So just by way of prior life, I've
12 been the Chief Innovation Officer at the
13 American College of Cardiology for a year now.
14 Prior to that I was practicing adult congenital
15 heart disease, a subspecialty of cardiology, at
16 Mass General and was the Director of Outpatient
17 Cardiology from 2016 on.

18 So do I just say next slide, or do I
19 have forwarding ability?

20 Perfect. Thank you. Goal today,
21 they've asked me to talk about best practices
22 for developing specialist-focused incentives
23 and performance metrics, and then how do we
24 encourage engagement with primary care
25 providers, which is something we talk about a
26 lot at the ACC.

1 Just a few facts though about
2 practice today as we start for cardiology.
3 Total value allocation, still pretty modest in
4 specialty care, especially in cardiology
5 globally. So just important to recognize.

6 And the second is many practices are
7 relying on the fact that as we build these
8 models, it's going to lay the incentive and
9 metric infrastructure for value payments.
10 There's a lot of alignment of when we do this,
11 we should all do it similarly, so I think
12 there's a lot of importance in the discussions
13 we have and the work that the PTAC does for our
14 field.

15 Next slide? So developing
16 specialist-focused incentives. What are some
17 key things that we're finding from our
18 membership?

19 So the first is creating team-based
20 value incentives in cardiology. And I would
21 say -- I'm calling this specialist in general
22 because I think this applies to some of the
23 other specialties that are very similar to us,
24 and it would be great to think about it as all
25 of us together and not just each specialty
26 deserves their own mechanism.

1 Team-based care has really become a
2 basis of how we deliver care, and so we really
3 need team-based value incentives when we don't
4 have that. We have discussions amongst who the
5 different team members are and who's getting
6 credit for which RVU⁶ for what, and it's really
7 counterproductive to the kind of care that
8 we're able to provide.

9 The second is we are a subspecialty
10 that actually has subdisciplines, and those
11 subdisciplines are oftentimes interventional
12 and non-interventional. Other specialties have
13 this as well. One of our challenges is that
14 there is compensation inequality for a variety
15 of reasons that developed over many years
16 across those subdisciplines. So perhaps a more
17 challenging goal is how does one compensate for
18 the entirety of the course of a patient's care?

19 If you look at the average
20 cardiovascular care patient, they don't just
21 touch one area. They have heart failure and
22 coronary disease. They need a catheter, and
23 they need a pacemaker, as well as guideline-
24 directed medical therapy. So how does one
25 compensate equally across subdisciplines to

6 Relative value unit

1 encourage the entirety of care? And that's
2 changing some models, but I think that deserves
3 some thought.

4 The third is allocating value -- no,
5 sorry. Go back. Allocating value to
6 clinically-meaningful non-production metrics.
7 Right now most of our metrics in cardiology are
8 all production metrics. And so that really is
9 a contradictory incentive for what we're doing
10 and really want to trade those contradictory
11 incentives for purposeful achievement. So what
12 are the non-production metrics even in those
13 who are not yet value-based that people agree
14 upon and would start to use as a cornerstone
15 for the future change?

16 And then lastly, I think there are
17 specialists that know a lot and are interested
18 in value-based compensation but are perhaps not
19 included, as a lot of the value change happens
20 in the primary care structures that are within
21 their organizations. And so I think even as
22 primary care is starting to go value-based,
23 which we're seeing more of, it would be great
24 if the cardiovascular team could be included in
25 even planning those thoughts if it doesn't yet
26 include the subspecialists only because you

1 then have a hand in what the floor looks like
2 and what we were building upon.

3 Next? Next slide, please? Thank
4 you.

5 And you can click three times for me right
6 here. Perfect. Thank you.

7 One of the ways we talk to our
8 cardiovascular membership, ACC's about 57,000
9 members, is to really talk about population-
10 based total cost of care as optimizing patient
11 care. So some of the pressures on our
12 decreasing cardiovascular workforce and our
13 burnt-out workforce is chronic management and
14 really partnership with primary care, is
15 patient-centric, but it also reduces low-value
16 specialist care, which is oftentimes a lot of
17 what's burning out our specialists. And so I
18 think that is a great way for us to think about
19 that partnership.

20 I think the second is rising risk
21 when illness progresses and can be identified.
22 That doesn't have to be managed at the
23 specialty level. Oftentimes we have really
24 great guidelines and closed-loop mechanisms for
25 GDMT⁷ that can be used at a primary care or

7 Guideline-directed medical therapy

1 specialty practice level. And so I think
2 sharing that rising risk management burden
3 would be helpful.

4 And then lastly when patients
5 eventually get to requiring intervention what
6 we are talking to our specialists about is the
7 fact that the care is oriented to the right
8 testing, the right specialist, the right
9 location because we have this partnership with
10 primary care, with community engagement. So
11 this has been what we've been talking to our
12 membership about.

13 Next? Separate thought. I am the
14 Chief Innovation Officer. Clinical practice,
15 especially since COVID, in cardiology even a
16 little bit before, is continuous and is no
17 longer episodic. And so we're going to need
18 payment models to follow this trend. You can
19 get many cardiologists on board with this
20 concept for outpatient care, which is we use a
21 lot of asynchronous communication: patient-
22 reported outcome measures, electronic
23 consultations, patient gateway through the
24 MyChart, et cetera. So that's really important
25 to our mechanism of care. It's also team-
26 based. The asynchronous communication goes to

1 a variety of different clinical providers.

2 Blended care. In-person and virtual
3 synchronous visits, whether phone or video.
4 There are many patients who are demanding the
5 ability to do this. And they are happy with
6 it. So even though we continue to watch the
7 continuing waivers and look at when phone will
8 be covered until, which is a separate issue,
9 it's really important that phone will likely
10 continue. Patients enjoy it. Teams see it's
11 necessary. So we have to figure out how we're
12 going to really account for blended care.

13 Clinical remote monitoring with
14 medical-grade FDA⁸-approved devices has been
15 around for a long time in cardiology, but the
16 field is growing. We do a lot of it. Right
17 now it is reimbursed by specific codes for 30
18 minutes of blood pressure monitoring, et
19 cetera. And this eating away at every single
20 little thing and then having to pad them all
21 into what your RVU generation is, is something
22 that's wearing away at our clinicians. So
23 again, another reason that people are ready to
24 think about continuous payment rather than
25 episodic.

8 Food and Drug Administration

1 And then lastly, digital tracking
2 and wearables, health care social data. We'll
3 talk in a minute about how we can maybe use
4 some of that.

5 And then lastly, data analytics.
6 Really thinking about collaborative
7 intelligence and the utility of AI⁹ in helping
8 us take large amounts of data, understanding
9 what we've put in the models, understanding
10 what comes out. There needs to be some room
11 for us to start looking at how to use that and
12 compensate for it. We won't use it unless it's
13 accounted for. And I'll get back to that in a
14 minute as well.

15 Next slide? So health equity.
16 Really central to the ACC. I wanted to make
17 sure we addressed it. Value-based models with
18 adequate infrastructure can really help us. So
19 incentivizing total cost of care by supporting
20 upstream equity in screening and disease
21 identification and meeting social service
22 needs.

23 If you look at the primary care
24 practices, we are jealous. We perhaps have a
25 care coordinator when we discharge a patient

9 Artificial intelligence

1 from the hospital in cardiology, but shy of
2 that, we have very little knowledgeable support
3 in how to help with the social determinants
4 that our patients face, and that is
5 challenging. So if there is a way that that
6 can be incorporated in future models of care,
7 especially if we're partnering with primary
8 care, that would be really important.

9 Imbedding social determinants of
10 health and social vulnerability index into
11 payment models. We are used to doing this for
12 risk-adjusted models in terms of procedures, so
13 we're really just looking at doing it on the
14 other side of kind of outpatient care and
15 looking at social determinants of health. It's
16 something that we've gotten used to, so I think
17 we could start to learn how to apply it and
18 mirror it in other places.

19 And then lastly, team-based
20 specialty care. Whether it's upfront
21 compensation, whether it's subsidies or
22 incentives, sharing it across a practice is
23 important. You'll notice that many
24 cardiovascular practices have the three people
25 who receive the majority of the Medicaid
26 patients, right? The one who's in Boston, for

1 example Chelsea. And those are the two doctors
2 who get all of our patients of a certain race,
3 ethnicity, socioeconomic status.

4 And rather than having those people
5 have their compensation dependent on that, if a
6 practice were to then look at compensation
7 holistically, and social determinants of
8 health, if social vulnerability were
9 incorporated into the entire practice,
10 everybody gets credit when that practice does
11 well. I think that's a concept to really make
12 sure that health equity grows as we move to a
13 total cost of care model.

14 Next slide? So last two slides.
15 Options. If you say hey, doc, what can we
16 actually do? So one that we have written and
17 talked about quite a bit is the comprehensive
18 condition-based value model. Episode of care
19 from treatment to stable. So atrial
20 fibrillation, right? Bundled care for MIs¹⁰.
21 We're probably going to continue to need that
22 for some of these models based on the way we're
23 already set up.

24 However, there's also a continuous
25 care value-based model. If we're collaborating

10 Myocardial infarction

1 with primary care, if we have community
2 outreach, we address health equity, the two
3 slides we just talked about, what does that
4 kind of a value-based model look like? I think
5 we need to perhaps address our specialty with
6 the challenge we're facing, which is chronic
7 disease management, and continuous care is a
8 major part of the burden of what we do. And
9 yet separate from that we have significant
10 high-risk episodic care that needs to be
11 controlled. And maybe we need to think about
12 two different value-based subspecialty models
13 that exist in parallel.

14 Last slide, please? So I think for
15 us, successful value models are going to
16 address the following challenges: For
17 accelerating complexity, we need better
18 partnership and upstream care, whether that's
19 with primary care, whether that's community-
20 based, how we're doing it, so that that complex
21 care can actually make it in the door in time
22 to the subspecialist tertiary institutions or
23 caregivers.

24 There is exponential information
25 overload, and somehow the value-based models

1 need to include RPM¹¹ and analytics rather than
2 each RPM being its own separate RVU-generating
3 code. And so how do we actually create a model
4 that builds for that? And we can talk more
5 about it in our discussion.

6 Rapid technological disruption is
7 happening. There needs to be room for
8 innovation. People aren't going to use the new
9 technologies or the AI that's going to make
10 them better at providing care because the
11 foundation is given to them. And then
12 clinicians use their own clinical acumen over
13 that foundation of here's everything I found in
14 the EHR¹², rather than I turned my back to my
15 patients, spent a lot of time in the EHR, and
16 still didn't get the maximal information that
17 it can provide. But somehow, we have to
18 account for that. If you're willing to
19 innovate, willing to iterate, that's part of
20 the value-based model of your care.

21 And then lastly, we do need health
22 equity support. So we need stronger models
23 that incorporate people who are really
24 specialists in thinking about social
25 determinants of health. We can start teaching,

11 Remote patient monitoring

12 Electronic health record

1 and we have started teaching our cardiologists
2 about this at a younger age and even in med
3 school, but it's different to be a specialist
4 and actually have to deal with social
5 determinants.

6 I think there's maybe one last slide
7 that says thank you. Perfect. Thank you so
8 much for having me.

9 CO-CHAIR HARDIN: Very thought-
10 provoking, Ami. Thank you so much.

11 Next, we have Dr. Judy Zerzan-Thul,
12 who is Chief Medical Officer at Washington
13 State Health Care Authority.

14 Go ahead, Judy.

15 DR. ZERZAN-THUL: Oops, these ones
16 aren't my slides. It's just the next -- there
17 we go.

18 Good morning, everyone, and I'm
19 excited to join you today.

20 So next? I'm going to talk from a
21 much higher level, more about systems and
22 integrating behavioral health care. And so to
23 give you a little bit of a background of that,
24 I work for the Washington State Health Care
25 Authority. We are the state's largest health
26 care purchaser. We provide coverage for

1 Medicaid folks, public employees, teachers, and
2 retirees.

3 And next slide? And besides being
4 the single state Medicaid agency, we are also
5 the single state authority for behavioral
6 health. And this has evolved over time. There
7 used to be separate divisions for alcohol and
8 substance abuse and mental health. Those two
9 joined. And then in 2018 that division moved
10 over into HCA¹³. And that has really allowed us
11 to better integrate behavioral health care into
12 the whole health care continuum and think about
13 how community behavioral health supports fit in
14 and think about how payment fits together.

15 And so the next slide, I'll show you
16 who we served in fiscal year 2022. And it's
17 important to know these are all Medicaid folks
18 or people without insurance or low-income. So
19 our behavioral health services are really
20 focused on the non-commercial population. And
21 most of these folks got mental health services
22 treatment. That's the sort of lighter blue
23 color little people. The green people got
24 substance use disorder treatment services. And
25 prevention is a part of what we do, but you can

13 [Washington State] Health Care Authority

1 see it's a small part now. We'd like to grow
2 that. But there's a lot of need and a lot of
3 workforce issues.

4 Next? Our journey at HCA to whole-
5 person care has been a long time in coming.
6 Before integration happened, before the
7 Behavioral Health Division came over to HCA,
8 there wasn't any one payer or any one system or
9 provider accountable for whole-person care.
10 There were two different state agencies that
11 had kind of mixed responsibilities for
12 different parts of care and access to care
13 standards, treatment standards, all were
14 similar, but a little bit different.

15 And so after integration, we now
16 have whole-person care management that's
17 provided through a single accountable managed
18 care organization. We at HCA are responsible
19 for that care. We've worked so that we have
20 the whole continuum of physical and behavioral
21 health care, including crisis services, really
22 focused on what does the person need? What
23 kinds of supports for behavioral health,
24 physical health, and social needs can we
25 support them with?

26 And I also wanted to point out this

1 has been a slow journey in many ways. So it
2 started with different counties. It started
3 with different chunks of populations. Children
4 and families and then pregnant women we worked
5 on first. But now we've really got to
6 everyone. And so the next slide I think is a
7 nice picture of what things looked like before
8 integration and after integration.

9 So before integration, on the left-
10 hand side, there was a confusing mix of
11 delivery systems and payment systems run by two
12 different state agencies. Some services were
13 provided by regional mental health plans. Some
14 services were administered by counties. These
15 were mostly substance use disorder treatment.
16 And then the state agency had inpatient. And
17 things were not well connected, and people
18 didn't get as good care as I think they're
19 getting today.

20 So after integration, on the other
21 side, we have MCOs¹⁴ really leading the care.
22 They work with behavioral health ASOs¹⁵, they
23 work with counties, they work with -- we have
24 these accountable communities of health that
25 are focused on social determinants and health

14 Managed Care Organizations

15 Administrative service organizations

1 needs, but it makes things much easier when
2 medications, outpatient treatment, inpatient
3 treatment can all be coordinated.

4 Next slide? But to get there it has
5 been -- we've had to move carefully so -- both
6 to not disrupt services and to figure out what
7 the right payments are. We found as we made
8 this journey that many behavioral health
9 agencies did not know how to bill well and
10 didn't bill for the full complexity of their
11 services, which the managed care organizations
12 that are very used to sort of crunching numbers
13 and giving people payments without that claims
14 data really struggled to make sure that they
15 got the right payment amount.

16 We started our integrated managed
17 care journey in 2016 when we put out bids and
18 in 2018 the first two regional areas -- we did
19 it in regions of the state that we rolled this
20 out. So the first two started in 2018. And we
21 found almost immediate benefits within the
22 first year or two in terms of increased housing
23 for these folks, particularly the ones with
24 more severe mental illness, and also immediate
25 returns in terms of a decreased re-
26 incarceration. This population often is in and

1 out of jails and prison, and providing
2 integrated health care really has helped people
3 be more functional and stay in the community,
4 which is great.

5 We had our final three areas of the
6 state integrate over 2020, which of course was
7 completely disrupted by COVID. And so it has
8 taken us a few years to have systems
9 stabilization, to figure out what the right
10 reimbursement level is, to figure out what the
11 right service level is, and get to integrated
12 statewide coverage, which is where we are
13 today.

14 Next slide? I'd like to pivot
15 briefly to talk about our focus on value-based
16 purchasing and some of the ways we're doing
17 that with regards to primary care and
18 behavioral health integration.

19 So next slide? We have been on a
20 VBP¹⁶ journey since 2016. We use the LAN¹⁷
21 framework for VBP, and we measure categories 2B
22 and above. And you could see when we started,
23 we had a relatively modest goal, and that has
24 increased over time. We continually strive to
25 figure out how do we get better outcomes, lower

16 Value-based purchasing

17 Learning Action Network

1 costs, better experience of care for both our
2 patients and the provider, and really reward
3 that high-value care.

4 As we've done this, one of the
5 things we've learned is that most people are
6 sort of stalled. While we have impressive VBP
7 penetration, most of that is stuck in the 2B
8 level. So people get rewarded for quality, but
9 we're really trying to push how do we get to
10 population-level payments. That turns out is
11 much harder for providers to want to sign up
12 for and want to do, and so having the right
13 communication and the right understanding to
14 clinicians so that they want to do this I think
15 has been another lesson for us.

16 Next slide? So one thing we've been
17 working on with integration for a few years now
18 is primary care transformation. And this has
19 been an aligned multi-stakeholder approach.
20 We've had extensive engagement and working on
21 these accountabilities you see here for three
22 groups. The clinicians that include
23 accountabilities for whole-person care and
24 behavioral health screening and treatment of
25 mild disorders.

26 We also have payer accountabilities

1 to have aligned quality standards and move
2 towards funding of a capitated model so that
3 most services for primary care will be paid on
4 a per-member/per-month basis.

5 And then we also have a group of
6 employers at the table for the commercial
7 insured lives in Washington that are really
8 looking at what kinds of better integrated
9 primary care do they want for their employees,
10 and how can they support both the health plans
11 and the providers and moving towards that?

12 HCA. Here we have a role, but we
13 are for sure not the only one. One piece that
14 we're doing to help advance this is measure
15 primary care spend. Other states are doing
16 this. And integrated behavioral health is part
17 of what we want to spend, or what we want to
18 measure in that spend. We have a goal of 12
19 percent of total health system spending being
20 for primary care services, and we hope to have
21 the first measurement of that out by the end of
22 this year.

23 Next slide? So to get to integrated
24 care, you have to measure integration and
25 figure out where are practices? And so we have
26 developed a statewide standardized assessment

1 tool that measures the degree of integration.
2 And the beautiful thing about this is that we
3 have a tool for primary care, but we also have
4 a tool for behavioral health practices. And
5 it's really that bidirectional kind of
6 measurement that I think is important in this
7 space of how do you have that communication,
8 and how do you provide services?

9 We just finished our first cohort in
10 doing this integrated care assessment, and I
11 was surprised that practices were not as far
12 along in some cases as I thought they were.
13 And it gives us a really good idea of what
14 sorts of supports practices need to move into
15 full high-functioning integrated care.

16 Next slide? So there is for sure
17 overlap across a number of our initiatives, and
18 these are all kind of pieces of a puzzle that
19 fit together in terms of how do you measure
20 integrated primary care in practices? How do
21 you pay for that? How do you have care
22 coordination hubs that fit across this, and are
23 both integrated with behavioral health and
24 primary care and the community? And we are
25 starting to work on setting up certified
26 community behavioral health clinics, which I

1 think will also be an important piece of the
2 puzzle.

3 And I think my sort of messages as
4 we go along this journey are integration can
5 for sure be done and be done well. But the
6 payment part can be tricky and thinking about
7 how to make sure -- many behavioral health
8 practices are very small and are independent,
9 and you don't want to make them go out of
10 business or have financial difficulties as this
11 shift happens. We spent more time on that than
12 we were expecting.

13 And then figuring out ways to make
14 payment work. Because many of these things
15 overlap. Whether that's overlapping, whether
16 that's nested models is important. And one
17 thing that we are still trying to figure out is
18 how to have -- best have that payment sort of
19 weave in and out of different models, not be
20 overlapping but be enough so that good care can
21 be possible. Thank you. And I think I
22 have one more thank you slide, too.

23 CO-CHAIR HARDIN: Thank you so much,
24 Judy. It was really interesting.

25 Next, we have Dr. -- or Ms.
26 Christina Borden, Director of Quality Solutions

1 Group at National Committee for Quality
2 Assurance, and Dr. Brian Outland, who is
3 Director for Regulatory Affairs with the
4 American College of Physicians. I'll note that
5 NCQA and ACP worked together to submit a
6 proposal to PTAC in 2020.

7 Please go ahead, Christina and
8 Brian.

9 DR. OUTLAND: Well, good morning,
10 and we certainly appreciate you allowing us to
11 be here and inviting us to be a part of this
12 important session on improving care and
13 delivering integrating specialty care in
14 population-based models.

15 Next slide? In ACP, we have a
16 number of primary care physicians that
17 participate in advanced practice models and --
18 advanced primary care practice models, and they
19 have gained a lot of experience with being in
20 these models. And so in collaboration with
21 NCQA and looking at the experience of primary
22 care, we worked on -- embarked on a model that
23 would pilot a program for coordination between
24 specialty care and primary care.

25 One of the important things is the
26 aim with this was to improve that coordination

1 between these two types of specialties, primary
2 care and specialty groups. ACP is the largest
3 specialty society with over 160 members, and it
4 includes a number of subspecialty societies.
5 So learning from the experience of primary care
6 who are in these practice models, linking them
7 with specialty practices that go through a
8 vigorous clinical transformation and also
9 coordination criteria -- those specialty
10 practices will be able to learn from the
11 experiences of primary care who have already
12 been embarking on these types of models.

13 And so part of the experience we
14 found with primary care was how could we best
15 help? There was a -- information sharing was
16 one of the areas where we found a breakdown.
17 And it wasn't just in one specific area. It
18 was all across the continuum of care, before
19 the referral was made, during, and even after
20 the referral.

21 So we embarked on this model, supplied it
22 to PTAC, and it met all of the 10 criteria of
23 the Secretary, and therefore the PTAC was able
24 to submit it to the Secretary for testing.

25 Next slide? So a critical element
26 of the model is the collaborative care

1 agreement. In this agreement, it will actually
2 outline the expectations and the roles of the
3 clinicians that are involved in it. It would
4 help to clarify when the specialty clinician is
5 acting as the principal care, and when primary
6 care and specialty care are co-managing a
7 patient. It will also help with the
8 communication and sharing of data. It closes
9 the loop on that data so that the primary care
10 and the specialty care know what's going on in
11 each area at all times, and it helps to
12 prioritize this information to the clinicians.

13 It also ensures clarity at hand-off.
14 When should a patient be handed back to primary
15 care, or when should it continue? And so
16 templates within this care agreement will be
17 established so that that is clearly laid out,
18 and the specialty care and primary care has
19 that coordination and good communication in
20 each of these. Each practice then would
21 establish its own internal things that will
22 help them to be able to prove that this works
23 well and continues to be good coordination of
24 their peers.

25 So clinical features in a
26 collaborative care agreement is certainly

1 important. And here it will utilize these
2 agreements with the primary care and specialty
3 care, and it will help to clarify when the
4 specialty care is acting as the primary
5 clinician. It will also provide communication
6 data and protocols that clearly establish what
7 these agreements are, the mechanisms for being
8 able to do that, and prioritize based on
9 urgency.

10 Each practice should establish its
11 own internal things.

12 And could we go to the next slide?
13 So within this care there is a spectrum of
14 primary and specialty care. And so it ranges
15 from the simple consultation all the way back
16 to the management of coordination of care back
17 to the primary care from specialty care.

18 So within that, what happens? There
19 could be co-management, that is shared care.
20 So in certain instances the specialty clinician
21 for their long-term management of the patient
22 will perhaps serve as the patient's primary
23 during those times. There are also times when
24 this co-management will be managed by both the
25 specialty care and primary care at the same
26 time for some long-term chronic conditions that

1 the patient may have.

2 There also may be a consuming
3 illness where just one specialty could then
4 perhaps be serving as the primary care or their
5 condition is worsening, and so they will take
6 over the care of that patient, but it still
7 keeps the primary care physician in the loop as
8 to what's happening so that they can continue
9 also caring for the patient's needs. And then
10 the transfer back from one specialty care to
11 the primary care.

12 Next slide. So each type of shared
13 care has its unique types of things that have
14 to be done, but there are some things that
15 should be in all of these types of care when
16 the care is shared by patient's principals.
17 Who is principally responsible for the care?
18 Is it the primary care, or is it the specialty
19 care? Who is principally responsible? So the
20 care agreement will help to close that loop to
21 be sure who's responsible for the principal
22 care of the patient.

23 Shared expectations. This is when a
24 consuming illness may require that the
25 principal care and co-management is co-managed
26 by both the primary care and principal care.

1 There are also critical elements that need to
2 be done from the primary care. What is
3 involved in the primary care sending
4 information to the specialty care so that they
5 will receive everything that they need to be
6 sure that they have the right patient, and the
7 patient is seen at the right specialty care for
8 their services?

9 And then there's also helpful
10 elements, as we mentioned, templates and those
11 types of things that can help close the loop on
12 the coordination of information back to each of
13 the clinical teams, not just the primary care
14 sending information to the specialty care, but
15 also specialty care getting that information
16 back to the primary care.

17 Next slide, please? So one thing
18 that needs to be done is encouragement of the
19 specialty practice's engagement in this. So
20 how can we do it? Well, any model that is
21 created has to be understandable and
22 predictable to the specialty care, but also to
23 primary care. And then it has to have a
24 foundation that is able to be worked on that
25 has a similar framework that each can
26 understand. And also it must be scalable to

1 different types of practices so that they can
2 all use this type of a model.

3 Communication, as we have mentioned,
4 is key in this. And so the specialty care
5 should be involved in the pre-screening of all
6 referrals with the accompanying documentation.
7 That is where the primary care will be sure
8 that he sends all the needed information
9 because then it will help being sure that the
10 patients are seen, and then also help lower the
11 cost of unnecessary types of visits and
12 unnecessary time for the patients being able to
13 be seen within the specialty practice.

14 Also care coordination agreements
15 are important as we already mentioned. And the
16 reimbursement structure is also important, but
17 while that's important, even more so is the --
18 reducing the unnecessary and duplicative work.
19 So when the primary care sends the information
20 over to the specialty care, the specialty care
21 can then triage those referrals.

22 And maybe it doesn't need to be a
23 full-on visit with that patient. Perhaps these
24 things could be happening -- taken care of just
25 through a consultation or other means, or
26 perhaps it's just not the right specialty that

1 received that patient. And so the duplicative
2 work does not need to take place. The patient
3 can be sent to the appropriate place --
4 specialty to take care of those things.

5 Also in total cost of care it would
6 be a way to encourage specialty practices to be
7 involved from the patient level. It would get
8 the patient involved because they could do
9 things like waivers, transportation, and those
10 types of things so that when the primary care
11 refers them to that specialty practice, they
12 will be able to go there and be involved in
13 their care as well.

14 And then these specialty care and
15 primary care will certainly work together
16 because of total cost of care. The specialty
17 cares will know that the primary cares who are
18 already involved in these types of training and
19 practices are doing their best and will help
20 them to be able to do their best, to succeed in
21 total cost of care type models.

22 Next slide, please? So how to
23 operationalize. Here are some of the critical
24 elements that the primary care physician would
25 be involved in: Making sure that he has all of
26 this data and that this data is then

1 transmitted to the specialty practice for the
2 referral. When the specialty practice receives
3 this information, then the specialty practice
4 would then be able to go through and triage and
5 know is this a patient for my practice? Does
6 this patient -- can I handle this problem or
7 this illness just with a consultation with the
8 primary care? Or can I send this patient to a
9 different specialty that will actually be able
10 to take care of that? As the doctor mentioned
11 earlier, that you don't have to go to an
12 orthopedic surgeon when you could perhaps have
13 had it done elsewhere. And so it will get to
14 the right person at the right time and then
15 lessen even the wait times for those patients
16 being seen in their practices.

17 Next slide, please? Next slide is -
18 - yes, thank you.

19 So here it helps us to appreciate
20 that the specialty care is also involved. And
21 what happens, some clear indications. What the
22 specialty care is doing, what the patient is
23 instructed to do, and what the referring
24 physician needs to do and when. And so all of
25 these things will come back from the specialty
26 care to primary care to help close the loop so

1 that primary care stays involved with the
2 patient's care, as well as the specialty care
3 being involved and both working together for
4 the benefit of the patient.

5 Now I'll turn it over to my
6 colleague Christina Borden.

7 MS. BORDEN: Thanks, Brian.

8 So the next couple of slides really
9 speak to the execution and many other things
10 that Brian also addressed.

11 The intent of the standards that are
12 outlined for how to set up those collaborative
13 care agreements is really to ensure that when
14 patients are referred to any specialty that the
15 clinicians have the information they need to
16 appropriately answer the clinical question,
17 know the patient and how to treat the patient,
18 and establish those roles and responsibilities
19 between clinicians.

20 As part of this coordination, there
21 are expectations with the referring clinician
22 and verifies that they have received the
23 information needed to appropriately diagnose
24 and treat the patient. By verifying that the
25 clinician has received the pertinent clinical
26 information before the patient is seen, the

1 practice can really reduce the need for
2 duplicate work such as collecting demographic
3 information and lab or imaging test results.
4 So payment models should really incentivize
5 care coordination compacts and collaborative
6 agreements up front so that duplicative costs
7 are avoided and dis-incentivizes duplicative
8 testing.

9 Next slide, please? So this is
10 guidance on how to really establish those
11 relationships, and routine and effective
12 communication with primary care and other
13 referring clinicians is the cornerstone of the
14 medical neighborhood. Collaborative care
15 arrangements focus on any specialty practices
16 engaging with primary care and other referring
17 clinicians, but the practice frequently
18 receives referrals from -- to set expectations
19 around how and what information is communicated
20 and exchanged. These relationships should be
21 formed from all types of referrals.

22 Could we go to the next slide? This
23 is an example that shows some sampling ways on
24 how that collaborative care agreement can be
25 formed between the primary care and specialty
26 practices. So this covers the collaboration on

1 patient care and the transition of care as an
2 example.

3 If you'd go to the next slide? Once
4 a clinician or practice receives a referral
5 request from another provider, then there is a
6 need to confirm that the clinician or practice
7 has the details about seeing the patient. This
8 begins the tracking process workflow for the
9 specialty practice. And this is being done in
10 exactly the same way on the primary care side.
11 This is where it was established, kind of the
12 processes for this how this happens, but in
13 order for clinicians not to feel out on an
14 island, everybody needs to be doing this, and
15 it really helps to support the care
16 coordination aspect.

17 If you go to the next slide? The
18 expected information on a referred patient is
19 detailed as part of the referral agreement and
20 the care compact. The intent is that both the
21 specialist and referring clinician understand
22 their responsibilities and how to plan for
23 communicating to the patient. The
24 communication responsibilities could be
25 captured in the assessment plan in, for

1 example, the patient's EMR.¹⁸

2 So really does the patient have
3 access to the assessment plan and section so
4 that it's not just being communicated between
5 clinicians but making sure the clinicians
6 understand how and when they're communicating
7 to their patients?

8 The follow-up information when not
9 received might also be a part of the process.
10 So think about it as those red error messages
11 for what is missing in the required information
12 not provided that probably needs to go back to
13 the primary care clinician or the other
14 referring clinician.

15 As we know, it's not a perfect world
16 of seamless data sharing. The patient's
17 demographics should include communication
18 needs, primary language, relevant cultural,
19 ethnic information, date of birth, sex, contact
20 and health information. Clinical information
21 should include the patient's problem list,
22 current medication, allergies, relevant medical
23 history, mental health, substance abuse issues,
24 and behaviors affecting health, for example.

18 Electronic medical record

1 And so these were just how those
2 collaborative care arrangements can be set up
3 between clinicians. And then Brian's going to
4 go into some case studies showing the benefits.

5 So, Brian?

6 DR. OUTLAND: Here it helps us to
7 appreciate the benefits of using the
8 collaborative care agreements within this type
9 of a model. An ACP member-led endocrinology
10 practice from Colorado -- as a result of using
11 the collaborative care agreement's information,
12 their clinical questions increased from zero to
13 75 percent. And what did this do? This helped
14 them to then reduce or increase their
15 supporting documentation from the primary care
16 from 30 to 60 percent within six months.

17 And then because of that, it reduced
18 the insufficient information that was supplied
19 in referral appointments. That declined from
20 70 percent to less than 5 percent. And then it
21 was able to help them to save from doing
22 duplicative testing, as well as associated
23 costs, and was able to save even the patients
24 on having to do cost sharing on unnecessary
25 visits.

26 So by receiving more complete

1 information and utilizing this helps us see
2 that appropriate referrals went from 20 percent
3 to nearly zero because they were using this
4 collaborative type of agreements and closing
5 the loop on the referral process.

6 Next slide, please? Here's another
7 case study from a rheumatology practice that
8 showed that they found that at least four in 10
9 patients did not actually require a
10 rheumatology visit. It could have simply been
11 handled without -- or with just a consultation
12 without actually having to see the patient with
13 their primary care physician, again helping to
14 see that the appropriate referrals improved the
15 practice access and the efficiency and
16 profitability and were maintained because
17 proper patients could be seen and scheduled
18 sooner. And the backup and wait times for a
19 patient were much less reducing health care
20 costs and addressing the personnel shortages
21 and improving access because they were using
22 these principles.

23 Next slide, please? So payment is
24 certainly critical in this. So the medical
25 neighborhood helps us to appreciate that
26 patient collaboration and agreement with the

1 referral is appropriate, referring to the
2 appropriate specialty practices. Specialty
3 practices can then prescreen with the
4 accompanying documentation having all that they
5 need. The visit then triggers an active phase
6 of attribution. And the specialty practice
7 role may vary, but they could also co-manage
8 the patient.

9 Next slide, please? And there are
10 two tracks that were set up for this and for
11 the payment, which is similar to what many of
12 the primary care physicians were in in their
13 specialty practice -- specialty-type advanced
14 practice models. And it will be nice for them
15 to be able to link along with the specialty
16 care practices. So there are many benefits to
17 a model such as this and linking primary care
18 and specialty care together and helping them
19 care for their patients.

20 Thank you for allowing us to be a
21 part of this session today.

22 CO-CHAIR HARDIN: Thank you so much,
23 Christina and Brian. Very interesting as well.

24 So now I'd like to turn it to the
25 Committee members. This is our opportunity to
26 ask questions. These have been very rich

1 presentations and many different directions we
2 could go. If you'd like to ask a question,
3 please turn your name table tent up. And also
4 for our members online, please just raise your
5 hand.

6 So I will open it up to the
7 Committee. Who would like to start?

8 Jim, please go ahead.

9 DR. WALTON: Yes, sure. Thank you.

10 Dr. Bozic, thank you for your
11 presentation. One of the things I was struck
12 by and just wanted to be curious -- I'm from
13 Dallas, Texas, and was really impressed with
14 your design -- is how could -- how do you see
15 scaling what you're doing at UT Health Austin
16 in the Musculoskeletal Institute to be a sub-
17 risk contract entity, specialty entity with
18 PCP¹⁹-based ACOs in the Austin area or in the
19 state of Texas? Can that model be scaled, and
20 how might you think about that, or have you
21 been thinking about it?

22 DR. BOZIC: Yeah, thank you for the
23 question, and it's absolutely an aspiration of
24 ours. We have a national payer and purchaser
25 coalition that we've been working with now for

19 Primary care provider

1 about the last four years. Mark McClellan from
2 the Margolis Institute and I lead that effort.

3 And we've been working through a lot
4 of the blocking and tackling in terms of
5 defining the condition-based bundles. So the
6 trigger codes for the start of the bundle, the
7 inclusion and exclusion, the risk adjustment.

8 And so we are actively seeking
9 partners to do pilots with, and at the same
10 time we've had a number of discussions with
11 purchasers. So large employers. ACOs are a
12 perfect partner for this.

13 It's essentially subcontracting out
14 your risk for specialty care with a locked-in
15 guaranteed discount on your historical spend
16 on, in this case musculoskeletal care, which
17 accounts for anywhere from 18-23 percent of the
18 total spend of many ACOs.

19 We can lock in a lower because we
20 know now, we have enough experience with our
21 model that we know at the population level that
22 we're going to reduce spending, primarily
23 through a reduction in utilization of surgery.
24 And without compromising on patient outcomes.

25 So the short answer is yes, we're
26 very interested in scaling, and we're looking

1 for partners, and we'd love to speak with you
2 offline and even involve you in that coalition.

3 CO-CHAIR HARDIN: And Walter.

4 DR. LIN: Yeah, just a follow-up,
5 Dr. Bozic, to that response. Really
6 interesting work.

7 I guess my question is, you know,
8 most of our patients have just more than one
9 condition. And it's great to think about a
10 condition-based payment model. But what
11 happens when patients have, you know, five to
12 six different specialists they see, an
13 orthopedic surgeon, a cardiologist, an
14 endocrinologist, a pulmonologist, a
15 nephrologist?

16 Are we suggesting that each
17 condition has a medical home so to speak, with
18 payments paid to that medical home, both from a
19 condition perspective, as well as an acute
20 event or major episode perspective? That's
21 part one of the question.

22 And part two is how do you do this
23 condition-based payment model, incent
24 appropriate preference-sensitive care? So for
25 example, the orthopedic surgeon still gets paid
26 more for doing a total knee, I presume. Is

1 that a discount, is that how you control
2 utilization incentives that way?

3 How do you control volume of
4 procedures in that -- in that payment scheme?

5 DR. BOZIC: So let me start with the
6 second question first, because that's pretty
7 straightforward. Actually, the orthopedic
8 surgeon does not get paid more for doing a
9 procedure. We get -- and it's team-based care
10 and team-based payment.

11 I know one of the speakers mentioned
12 this early on. We can't even track utilization
13 by individual clinician. I don't know how many
14 work RVUs or anything else I'm producing
15 because it's all team-based care.

16 And so we get an upfront payment,
17 prospective payment for the management of that
18 condition for the year. And there's no
19 incentive, there's no additional professional
20 fee payment, whether we do any kind of -- any
21 kind of treatment, whether it's physical
22 therapy, injections, cognitive behavior
23 therapy, or surgery.

24 But we are held accountable to the
25 patient-reported outcome. So if surgery's
26 indicated, we do it.

1 Our utilization of surgery is about
2 30 percent lower than the population average,
3 not because we're more conservation surgeons,
4 it's because we have more treatments to offer.
5 Most practices that treat musculoskeletal
6 disease can offer surgery or sorry.

7 And we can offer them lifestyle
8 modification, help them with weight loss, help
9 them with cognitive behavioral therapy to
10 retrain how they think about pain. And lots of
11 other treatments that aren't just surgery.

12 Your first question around how do
13 you think about, you know, multiple patients
14 don't show up with one condition, they show up
15 with multiple conditions, I think it's a great
16 point.

17 I would think about it no
18 differently than how if you're a primary care
19 physician, you're referring a patient for the
20 treatment of a musculoskeletal condition,
21 you're referring them somewhere else for the
22 treatment of cardiovascular disease, et cetera.

23 It's no -- it doesn't change that at
24 all, it's just that you're now -- you're now
25 being -- referring them to a place that treats
26 the condition more holistically and recognizes

1 that there are multiple different factors that
2 influence the outcome of treatment for that
3 condition.

4 And so you've got a
5 multidisciplinary team as opposed to an
6 individual specialist. But it doesn't take
7 away from the fact that yes, that patient needs
8 similar treatment for their cardiovascular
9 disease. It could either be done by a single
10 individual specialist or a team like this.

11 And I will say that, you know, from
12 a patient perspective, I think the comments
13 that we get are really, you know, pretty
14 rewarding to hear how patients feel about this.
15 You know, if someone comes to us, we screen for
16 anxiety and depression.

17 If we identify someone with a, you
18 know, severe anxiety or depression, we don't
19 treat that condition. We get that plugged in
20 with the appropriate mental health.

21 But we have to understand how the
22 treatments that we may offer for their
23 arthritis, back pain, or other things are
24 influenced by that condition. If we completely
25 ignore that, we're not going to be delivering
26 value for patients.

1 So we're not taking over the
2 management of all of their comorbidities, we're
3 just acknowledging that those comorbidities
4 influence the outcome of whatever treatment
5 we're going to offer that chronic disease. So
6 hopefully that answers at least some of your
7 question.

8 CO-CHAIR HARDIN: And Walter, just
9 checking, did you also want to hear from Brian
10 and Christina? You mentioned the medical
11 neighborhood and just want to clarify that your
12 question was answered, so. Brian, Christina,
13 would you like to add in?

14 DR. OUTLAND: This is Brian. I will
15 add that that is based on that question. One of
16 the things that medical neighborhood model
17 certainly tries to do is link the primary care
18 physician, perhaps as a medical home, for the
19 patient, so that there's one person who knows
20 everything about the patient and things that
21 are going on to the specialty care.

22 And then be able to work with those
23 specialty cares so that somewhere along the
24 way, this physician or that physician or group,
25 everybody can say, I'll send my information
26 here. They have it, they have a medical home,

1 they know where the information is coming and
2 going. And the loop is closed on the patient
3 with their referral information.

4 And also, the specialty practices
5 through their agreement will know who -- which
6 specialty is caring for which type of condition
7 the person may have. Because all those things
8 are listed within the specialty care agreement
9 that they will have with the primary care.

10 So they can contact and be able to
11 coordinate with the appropriate specialties
12 that are handling those conditions.

13 MS. BORDEN: And I'll just add, this
14 is Christina, I mean, I think it's for these
15 collaborative care agreements, it's really
16 important for clinicians to be able to
17 communicate with each other around the
18 different pieces that we describe.

19 But really ultimately benefits both
20 the clinician and patient. And from the
21 medical home neighborhood model, like Brian
22 mentioned, you know, the primary care provider
23 is really that -- that sole source of like
24 information about knowing everything about the
25 patient.

26 And then they can share that with

1 the specialist so that when the patient
2 actually goes to the referral and is being seen
3 by the specialist, it's not starting at step
4 one. And they can see that there is
5 coordination and collaboration between their
6 clinicians, which gives patients like peace of
7 mind as well.

8 So it benefits both the clinicians
9 and the patients, so we should payment models
10 to do that.

11 CO-CHAIR HARDIN: Thank you.
12 Angelo.

13 CO-CHAIR SINOPOLI: Yes, thank you,
14 Laurant. So my question is I think mostly for
15 Dr. Bhatt. So you've mentioned team-based
16 incentives, which I like a lot, and equally
17 across the specialties. And then mentioned
18 valuing non-reimbursed care and processes also.

19 So I really have kind of two
20 questions. Can you describe a little bit what
21 that might look like across multiple
22 specialists being involved in non-value, non-
23 paid services? And then the second part of the
24 question is in that team-based approach, do you
25 use navigators, care management teams that
26 actually cross specialty service lines, or how

1 do you do that?

2 DR. BHATT: Yeah, great question.
3 So first let me just say Kevin, I'm in awe,
4 because wouldn't it be great if I didn't know
5 the direct downstream revenue of every single
6 member of every cardiovascular practice. But
7 that measurement, it's like an addiction in our
8 field right now still.

9 So to your excellent questions, I
10 think starting with thinking about what a team-
11 based -- within cardiology, just for a moment,
12 I'm going to separate it from primary care.
13 For example, a majority of our heart failure
14 patients will be seen by both the heart failure
15 and the electrophysiology service, right.

16 They will have some sort of
17 pacemaker involvement or atrial fibrillation
18 ablation procedure. And what we're currently
19 doing is heart failure can have metrics which
20 are generally based on readmission. And then -
21 - or touchpoints.

22 And then EP²⁰ will have maybe an AFib
23 metric, which is kind of value-based from
24 beginning to end of AFib. That doesn't really
25 account for the heart failure metric.

20 Electrophysiology

1 So could we, at a very simple first
2 step basis, combine whatever we think the
3 appropriate AFib management metrics and the
4 heart failure metrics for that patient across
5 the division such that if that group of
6 patients meets them, the entire division
7 benefits from that, right, or the entire
8 clinical practice. So I'm used to saying, I'm
9 thinking of Mass General. But the entire
10 clinical practice benefits from that.

11 I think that's like the very first
12 step in cardiology of getting towards what will
13 eventually be valued-based care, but I think
14 it's doable in our -- in our conception. So I
15 hope that helps a little bit just in the
16 logistics of thinking about it.

17 I think in a larger way, if you look
18 at, you know, either hospital readmissions, or
19 even if you look at individual patient
20 experience, probably, you know, we've had
21 numbers that vary, but at least 25 percent of
22 heart failure patients are followed by primary
23 care and not by a cardiologist at all.

24 And so I think when we go back to
25 the, you know, medical neighborhood model, I
26 think that's going to be essential for us to be

1 able to really deliver the kind of care that
2 those patients need.

3 What slightly concerns me is we have
4 a workforce issue in cardiology that is
5 significant, as we did in primary care. And so
6 we need to figure out how the team interacts
7 with primary care. And some of this for us is
8 actually very cultural. I didn't bring it up
9 in the presentation, but I'll say it's very
10 cultural.

11 So if you're a primary care
12 practice, and you're relying on the
13 cardiovascular team, we really need to teach a
14 lot about the members of the team and being
15 okay with whichever members are there and how
16 we're communicating. Or even using remote
17 monitoring closed loops.

18 And the cardiovascular practice
19 trusting that if the closed loop tells the
20 community practice something in the medical
21 neighborhood, we've already vetted it. We have
22 to trust it. We can't see that patient to make
23 sure the remote monitoring worked because that
24 really works against the entire concept of how
25 we measure together.

26 So I guess two separate answers.

1 One is we have metrics that are very
2 subspecialty-focused, and we need to start at
3 the minimum grouping them and then having
4 everyone be responsible.

5 Number two, the medical home would
6 be essential, but we need to be okay with
7 remote monitoring and okay with team-based care
8 as the go-to for those medical neighborhoods.
9 And there's still some culture change that
10 needs to happen there from what we see.

11 Does that kind of answer those
12 questions?

13 CO-CHAIR SINOPOLI: Yes, thank you.
14 And if you could comment, and you may not be
15 there yet, but how are you using navigators
16 across specialty lines? Are they more siloed,
17 or are they working across specialties?

18 DR. BHATT: Those of our
19 cardiologists in the ACC who practice in
20 multispecialty groups are the ones that the
21 rest of us are looking to and saying, gee, we
22 wish what you had.

23 The majority of practices do not
24 have cross-specialty navigators at this time,
25 unless they're in an employee-based contract.
26 Unless they're already value-based. Unless

1 they're part of like a Pioneer ACO or something
2 else that's 25 percent of the 100 percent of
3 what's happening, and the other 75 is RVU.

4 So we're only seeing navigators
5 where really value-based care or comprehensive
6 employee-based care or multispecialty practices
7 exist, which is still a minimal percentage, as
8 I start in my first slide, of the majority of
9 the practice of cardiovascular disease right
10 now in our country.

11 CO-CHAIR SINOPOLI: Thank you.

12 DR. BHATT: But we would love it.

13 CO-CHAIR HARDIN: And Larry and
14 Lindsay, who was first? Larry, please go
15 ahead.

16 DR. KOSINSKI: Okay, well, great
17 presentations. I think my question could go to
18 just about any of you, but probably to Dr.
19 Bhatt or Dr. Bozic.

20 I'm intrigued by your team-based
21 care. I love the concept, I can see how it
22 could be -- how it could work. But your --
23 cardiology is a specialty that's become heavily
24 employed. And the number of independent
25 cardiology practices out there, it's been
26 dwindling over -- over the last few years.

1 How do you -- how do you implement
2 team-based care in that community practice
3 setting where doctors aren't, as Dr. Bozic
4 said, you know, oblivious to their RVUs? They
5 are totally tied to their RVUs.

6 How do we implement this in, I guess
7 if we use the -- the real world rather than in
8 the control situation?

9 DR. BOZIC: Maybe I can take a stab
10 at it first. So I think if I understand your
11 question, it's simpler to implement in the
12 employed model, is that what you were referring
13 to?

14 Yes, I will say, so in our case,
15 unlike cardiology, the majority of orthopedic
16 surgeons are in independent practice, are not
17 employed. Although that's changing pretty
18 rapidly. New graduates are joining employee
19 practices.

20 But I work, we work closely with the
21 Orthopedic Forum, which represents all of the
22 large orthopedic practices in the country.
23 It's about 60 percent of all orthopedic
24 surgeons. And they look at this as an
25 ancillary service.

26 So just like they would own an

1 ambulatory surgery center or an MRI or physical
2 therapy practice, owning a musculoskeletal
3 medical home allows them to take all comers,
4 take risk, and out the other side of that comes
5 patients who are appropriate for surgery.

6 It's actually extremely rewarding
7 from a specialist perspective because you're
8 not being the frontline musculoskeletal care
9 provider dealing with patients with chronic
10 pain, depression, obesity, opioid addiction.
11 You have others on the team that are doing
12 that, and then if and when they're appropriate
13 to consult with a surgeon, we bring the surgeon
14 in.

15 So from an orthopedic surgeon's
16 standpoint, it's a dream. And it allows you to
17 get involved in risk upstream and take --
18 rather than setting a whole bunch of criteria
19 that say only send them to me if they've done
20 this, this, this, and this, and they've already
21 been talked to about surgery, and they're ready
22 to schedule surgery, which is what a lot of
23 orthopedic practices do now. We can say give
24 us all comers. And that is more attractive to
25 an ACO or another risk-bearing organization.

26 DR. BHATT: That was a really

1 positive outlook, so I will us more tempered
2 version. The RVU model as it exists in
3 community practice does not support team-based
4 care the way we're thinking about. Because,
5 you know, you earn what you earn.

6 However, if models increase volume
7 of what comes through, increase patient
8 interactions without having to increase the
9 physician-patient interactions, driving towards
10 administrative burden burnout documentation,
11 some places have been successful in doing it.

12 When you talk about who is involved
13 in team-based care, there are team-based
14 members who require a larger salary, and then
15 there are team-based members who don't. And so
16 varying who that team is, is something that
17 we're seeing practices start to really think
18 about.

19 Using somebody who has a very high
20 license to do work that would be better done by
21 a social worker, thinking about the role of
22 LPNs²¹, really thinking about the role of
23 pharmacists and partnering with pharmacists or
24 recent pharmacy graduates, I think we're
25 starting to experiment with varying who that

21 Licensed practical nurses

1 team is and what the exact need is in the
2 practice.

3 In order to, however, increase
4 volume and decrease burden for the physicians
5 in the practice when they are straight RVU.
6 All right, so but you're still driving from RVU
7 unfortunately until you change that model.

8 There was a second commentary that I
9 had. Yes, the other side that has created a
10 lot of discussion is when you have primary care
11 practices who have subspecialty needs, is there
12 a role for using other team members that are
13 not clinicians?

14 And that has engendered considerable
15 discussion from all ends about whether or not
16 that's a model that's going to work, so maybe
17 just leave that at that.

18 And then I think lastly, remembering
19 that our specialty, perhaps GI²², maybe
20 pulmonary and a few others, we don't have the
21 benefit of a little bit of what Kevin's talking
22 about with the team, which is we have
23 interventionalists, and then we have chronic
24 disease complicated care managers.

25 We have two totally separate -- the

22 Gastrointestinal

1 heart failure transplant doc really is worth as
2 much as an interventional cardiologist, but it
3 is harder to understand what that looks like in
4 those two models.

5 So if you can be in the lab all day,
6 like you can be a surgeon all day, sorry to
7 make -- have -- but then yes, somebody else
8 should be in office. At MGH²³, we ended up
9 having to give stipends to interventionalists
10 to go to clinic, right.

11 Because if you have to choose where
12 you want to be, you train to do a certain
13 thing, you want to do that thing. Some of the
14 questions are maybe low-value care for the 35
15 years you spend in training, you understood it.

16 And so again, I think that gets back
17 to what you want to do with your time. And the
18 interventional versus the non-interventional
19 groups almost are treated a little bit
20 differently, to answer your question. It's
21 complicated.

22 CO-CHAIR HARDIN: Thank you so much.
23 I'm going to go to Lindsay. Because just to
24 note, we have about 20 more minutes in this.
25 There is so much rich dialogue here, but I want

23 Massachusetts General Hospital

1 to ask everyone if you can ask your questions
2 succinctly and think about your answers in that
3 context.

4 Go ahead, Lindsay.

5 DR. BOTSFORD: Thank you so much.
6 You know, one theme I heard really layered in
7 all of your presentations is this theme of
8 making sure that the specialist that is highly
9 trained to do something they've spent years
10 training to do gets the appropriate referral.

11 So whether that be through the
12 lifestyle changes that happen before the
13 surgery or the social issues addressed, or just
14 the appropriateness of the referral through use
15 of e-consult.

16 I think my question, and Dr. Bozic,
17 your work is amazing, I love it. I think it's
18 a -- condition-specific treatment is a
19 wonderful solution to kind of layering onto our
20 current fee-for-service world.

21 My question comes to, you know, is
22 there the workforce to scale a team around
23 every specialist and does the -- does the
24 payment for a condition flow there, versus
25 flowing to primary care so that they can do
26 more in primary care and get patients when

1 they've exhausted the cognitive behavioral
2 therapy, the diet, and exercise?

3 So that same end result of the
4 surgeon being utilized when it's time for
5 surgery or when surgery is appropriate, maybe
6 we don't know the answer.

7 But I guess for Dr. Bozic in
8 particular, I'm curious what do you see as the
9 advantages of embedding that team together with
10 the surgeon, as opposed to providing more
11 robust payment and primary care so that it
12 would do some of those first second-line things
13 before surgery is available?

14 DR. BOZIC: I think it's a great
15 point, and I think you can do either. I would
16 only consider having condition-specific medical
17 homes for something that a primary care
18 practice has a -- is the large of their spend,
19 right. So musculoskeletal, cardiovascular, the
20 usual ones that come to mind.

21 Could a primary care physician
22 manage, you know, chronic musculoskeletal
23 disease, which they do? It's the most common
24 to present to a primary care physician.

25 I would argue that, you know, to put
26 them in the position to say, okay, I've got to

1 stay up-to-date on the current treatment for
2 musculoskeletal disease, non-operative,
3 inoperative, and know what's the trigger point
4 at which point I should make a referral, puts a
5 lot of pressure on them, and it's not their
6 expertise.

7 We have people -- we have advanced
8 practice providers that spend their entire
9 career treating musculoskeletal disease.
10 They're integrated in a team with all of those
11 other different treatment options so they don't
12 have to be referred out for physical therapy,
13 referred out for CBT²⁴, referred out for weight
14 loss.

15 And so I think historically the
16 reason why those referrals are, you know,
17 historically the primary care physician would
18 say, you know, I'm going to hold onto this
19 patient as long as I possibly can, because as
20 soon as I send them to a surgeon, they're
21 likely to have surgery, which is usually the
22 case.

23 And so this model, we can guarantee
24 a lower rate of surgery, and we can provide all
25 of the wrap-around services for that condition

24 Cognitive behavioral therapy

1 and still keep the primary care doctor in the
2 loop.

3 So it's just off-loading their risk
4 for managing certain conditions which make up a
5 big part of their spend. But including them in
6 the process. It's not -- it's not cutting them
7 out. That's my view.

8 DR. BHATT: I'm sorry, I know Dr.
9 Pulluru has her hand up. Just, I wanted to
10 take that and send it down to Brian and
11 Christina for a second. Sorry, down -- because
12 you're down on my screen.

13 I worry sometimes if Kevin's going
14 to, you know, or if our practice, Kevin's
15 practice, others are sending this volume first
16 to primary care, and we have a PCP shortage, we
17 have a nursing shortage. Is there capacity?

18 This question comes to me a lot, and
19 I'm just going to ask, is there capacity for
20 primary care to be able to do that level of
21 management of whatever we're calling, you know,
22 lower-acuity care in collaboration with us or
23 not? I think that's one of the things people
24 worry about in our field, the specialists.
25 Sometimes why we don't let go.

26 DR. OUTLAND: Brian. And at ACP,

1 you know, the American College of Physicians,
2 where much primary care takes place, we do
3 agree that there is a shortage in primary care.
4 But the model that we've worked on and our
5 physicians do feel like they could handle that
6 work.

7 As a matter of fact, it would make
8 it even -- it would relieve some of their
9 burden. Because sometimes they hold onto their
10 patients because they don't want to refer them,
11 as the doctor mentioned, that they may get
12 surgery and they don't -- not sure.

13 Or they're just receiving patients
14 and they're holding onto. They don't know who
15 to refer them to in the field because other
16 specialties have shortages.

17 And so this way, by creating that
18 agreement, they're able to review and say I'll
19 send you here, and maybe it's a complication as
20 to how best to manage that. They don't have to
21 do it all themselves.

22 Or maybe then it goes to someone
23 else, and they say okay, I'll take this person,
24 and I'm able to manage it. And so that takes
25 it off of their plate.

26 So I do feel like they can handle

1 this work. It's just being sure that the
2 coordination and collaboration is there.
3 Because they're not feeling like they're in
4 many instances kept in the loop of their
5 patients when they do refer them out.

6 MS. BORDEN: Yeah, I don't have
7 anything additional, really additional to add,
8 other than where the clinicians that have come
9 through our patient-centered medical home and
10 specialty practice programs, that's part of the
11 patient's medical neighborhood.

12 You know, just as Brian said, having
13 -- having those understandings between
14 clinicians of when it is good to have a
15 consultation versus a direct referral, and
16 building those relationships over time just
17 make it more and more seamless.

18 And so that it just becomes natural
19 when you know when you should be doing a
20 consultation or actually making a referral.
21 And I think definitely for specific types of
22 conditions, it's easier for primary care to
23 automatically just kind of handle it like when
24 it comes to like diabetes care, for example.
25 But there are going to be instances where you -
26 - you need to navigate the patient to that

1 actual referral.

2 And having a -- having an
3 understanding of who actually will be a part of
4 that collaboration and coordination is another
5 aspect. You know, you're -- you just want to
6 refer out to anybody. But having that really
7 hinge up with somebody, with another clinician
8 to be able to coordinate for the patient is
9 very important.

10 But I think we've seen that
11 clinicians have that capacity to be doing both,
12 to be both part of the consultation but also
13 making the referral that encompasses everything
14 that the clinician needs to know.

15 DR. ZERZAN-THUL: And I'd like to
16 just jump in here, having worked with primary
17 care practices pretty deeply on our model the
18 last few years and being a primary care
19 provider myself. I think the reimbursement for
20 primary care isn't there to have the social
21 worker, to have an extra nurse care manager, to
22 have a peer navigator, all within that
23 practice.

24 How we currently reimburse primary
25 care in our fee-for-service system just doesn't
26 -- doesn't allow for that. There's not --

1 there's not extra. And so there really need to
2 be Alternative Payment Models so that you can
3 have that full team of people.

4 And I think there are some good
5 programs that are working on, including in
6 Washington state, how do you grow that
7 workforce, how do you grow that level, lower-
8 level workforce to provide that kind of care.
9 And I think -- I think that's definitely
10 possible.

11 CO-CHAIR HARDIN: And I'm going to
12 just quickly check. Jen, Josh, Chinni, Walter,
13 are any of your questions for Judy? Josh,
14 okay, I'm going to go to Josh next then.

15 DR. LIAO: Great. Judy, it's good
16 to see you. I think I've really enjoyed
17 everyone's comments about how, you know,
18 integration happens at the clinical point of
19 care between clinicians and their teams.

20 But one thing that keeps coming back
21 is, to me, is the sense of knowing who you're
22 referring to, knowing the capability of the
23 team, the clinic. Knowing that Kevin has a
24 musculoskeletal institute rather than a
25 different type of orthopedic practice.

26 So Judy, your comments about this

1 integrated care assessment and how Washington
2 has tried to step back and try to understand
3 the capabilities of clinicians and their
4 groups, I think is really striking to me. I
5 was wondering if you could comment on that
6 briefly.

7 And you mentioned it, you're
8 thinking about payment models as well. That's
9 what our Committee's thinking about. So if we
10 believe that assessing capacity, right, is
11 important as a very first step, how can that
12 interact with models?

13 Either how can that assessment kind
14 of help groups and policymakers think about
15 where to target those models, based on
16 capability to integrate? Or vice versa, what
17 can payment models teach us about how we need
18 to assess integration?

19 DR. ZERZAN-THUL: Josh, thanks, it's
20 good to see you too and a great -- a great
21 question.

22 So I think one thing especially
23 thinking about this first cohort of primary
24 care and behavioral health providers that we --
25 we did the integrated care assessment on, it
26 was surprising, although maybe it shouldn't

1 have been, that most providers were on the very
2 early tier of like they give people a PHQ²⁵-9,
3 they screen for anxiety.

4 But then like the next steps after
5 that, there were very few. And so I think that
6 sort of speaks to my last comment of like
7 there's not -- there's not this extra money,
8 and there's not this extra workforce to figure
9 out how do you have a handoff to someone of
10 like, oh, this person has depression, it's more
11 complicated than what I can do. How do I --
12 how do I do that?

13 You may know, but I know others of
14 the Committee don't know, part of our primary
15 care work is figuring out some of this
16 assessment. And we've been talking for a
17 little while about a certification model or a
18 readiness model to sort of say not everyone is
19 advanced primary care. Like, there's a whole
20 range.

21 And we've started in our model to
22 sort of lay out a first level, a second level,
23 and then a third level, which is advanced
24 primary care fully integrated to all the bells
25 and whistles, that sort of thing.

1 And so, and we imagine that there
2 would be different payments for that. Because
3 I think the practices that are very early in
4 this journey and are not very integrated, they
5 are completely dependent on fee-for-service.

6 And so they need additional funding
7 to help them. And they need some education and
8 understanding of how the risk works, how they
9 would manage a more global payment or a
10 capitated payment.

11 Some of the ones on the advanced end
12 are ready for that, and then some of the ones
13 in the middle are like, oh, maybe what's this
14 going to look like? I love my RVUs, that's
15 what I know. We really need to think about how
16 do we sort of move them out of that?

17 And we've been thinking about it as
18 sort of a continuum that, you have to have
19 these steps along the journey. And you have
20 to, before you get to like what kind of payment
21 do each of these get, you have to understand
22 where they are and what kinds of care they're
23 providing today, and then how you -- how you
24 get them there.

25 And you go, there's been a lot of
26 conversation, do you just jump in and force

1 everyone into the pool, you ease them along.
2 We've sort of thought about, and we haven't
3 implemented this yet, but a kind of three-year
4 runway so that like you have to get there, but
5 we'll give you a little time to get,
6 recognizing practice change is hard.

7 CO-CHAIR HARDIN: Angelo, is there
8 anything you wanted to add layered on?

9 CO-CHAIR SINOPOLI: No, thank you,
10 she answered my question.

11 CO-CHAIR HARDIN: Jen, please go
12 ahead.

13 DR. WILER: So thank you so much to
14 each of our presenters. What I'm thinking
15 about is, you know, our two days have been
16 spent thinking about how to integrate specialty
17 care into total cost-of-care population models.
18 And this session in particular was focused on
19 developing financial incentives to do that.

20 So Dr. Bozic, what I want to
21 acknowledge is I think you've done exactly what
22 we've asked, and that's put forward a model
23 that is not only successful, but shows how
24 specialty care can ultimately be integrated in
25 a model, nested or not, as we've talked about
26 over the last day or so in a total cost-of-care

1 model.

2 What I think is interesting about
3 the model that you've proposed are a couple of
4 things. One is, as we all know, with mobility,
5 that there are positive secondary outcomes that
6 occur that you just described around reduction
7 of pain, influence on mental health conditions
8 like depression, and ultimately weight loss,
9 which has impacts in other areas, like
10 cardiovascular care, for instance.

11 But what I am struck by in your
12 model is the fact that the primary outcome
13 measure is one that's a patient-reported
14 outcome. Pain, functional status, quality
15 outcomes. I think that's really unique, really
16 special, and important to all the other process
17 measures that we just talked about.

18 That said, what I'm mulling over is
19 how is -- how is that scalable to other areas
20 or specialties? Larry, for instance, has
21 presented to us before about a gastrointestinal
22 model that the patient-reported outcome for a
23 Crohn's patient is, you know, less days of
24 diarrhea. That also makes sense a patient
25 doesn't want to -- doesn't want to have that
26 symptom.

1 But when I think about
2 cardiovascular care, less days of AFib may or
3 may not be meaningful. So anyway, I'm curious
4 about, again, there's some really important
5 principles that I think that we can all align
6 on.

7 There's a model that, you know,
8 shows what financial incentives can do, and
9 that's exactly the space we want to be in,
10 great care incented by the right model. But
11 I'm curious your thoughts around scalability to
12 other disease conditions.

13 DR. BOZIC: Yeah, thanks. I think
14 that patient-reported outcomes, as you point
15 out, are -- can be specific to the condition.
16 That said, the work from the PROMIS²⁶ Group and
17 others have shown that probably a lot of these
18 are measuring the same thing. And so we might
19 not have to have disease-specific patient-
20 reported outcomes.

21 I think that if -- understanding why
22 the patient is presenting for care in the first
23 place, and then are we actually addressing
24 what's important to them is really what I think
25 about when I think about the importance of

26 Patient-Reported Outcomes Measurement Information System

1 patient-reported outcomes.

2 They're not mutually exclusive from
3 other clinical outcomes. You may have
4 hemoglobin A1C and other things that you want
5 to track as well.

6 But particularly for musculoskeletal
7 disease, if the only reason the patient's
8 coming to see us is because they're having pain
9 and limitation in function that's impacting
10 their quality of life, and we're not measuring
11 it, I don't understand how we could ever expect
12 to deliver value to patients.

13 The biggest challenge we have is
14 patient-reported outcomes don't fit neatly on a
15 claims form. And so that's a big challenge for
16 us with payers. And we've done things like
17 work with payers. We did this in California to
18 require the measurement of a patient-reported
19 outcome before approving a surgery.

20 So it's basically a prior -- it's a
21 waiver of prior authorization. Instead of
22 saying did you do this, this, and this, did you
23 even measure the thing that the patient cared
24 about in the first place? Ninety-five percent
25 of practices don't.

26 And so it seems like a simple thing,

1 but it's a barrier. And I think we -- there's
2 a lot we can do synergistically with payers and
3 purchasers to incentivize patient-reported
4 outcome measurement can be more integrated into
5 routine clinical practice.

6 In fact, the American Academy of
7 Orthopedic Surgeons has a large initiative
8 right now we're working on to try to do that
9 with electronic health records and payers and
10 others.

11 CO-CHAIR HARDIN: Chinni, you had
12 your hand raised previously. Did you have a
13 question still?

14 DR. PULLURU: Yeah, I'll try to make
15 it quick. So a lot of the conversation around
16 specialty integration and particularly
17 procedural, you know, one of the things that I
18 was curious how you guys address. And Dr.
19 Bozic and Ami, I believe, Dr. Bhatt, I believe
20 I'm sort of directing this to you.

21 How do you negotiate site neutrality
22 type of things? Like for example, you know, in
23 order to get a lower cost of care, you have to
24 have certain -- that the place of service
25 matters, right. And so surgeries that are
26 outpatient versus hospital.

1 And you have multiple stakeholders
2 that need to either, you know, it's a revenue
3 thing for hospitals to have surgeries in the
4 hospital or CAHs²⁷ or whatnot, versus
5 outpatient. How are you negotiating that as
6 you're putting these plans into place?

7 DR. BOZIC: Ami, why don't you
8 take that? I've been talking a lot.

9 DR. BHATT: Yeah, I think one of the
10 most important things, at least for
11 cardiovascular, is actually our time to
12 procedure is strongly related to the likelihood
13 that the patient does well and outcome. And
14 so that has helped us drive a little bit in
15 terms of being okay using a variety of
16 locations.

17 Now, we do not -- so there's two big
18 things that we are discussing in our field
19 right now. I'll start with number two, I'll go
20 back to number one. The use of ASCs²⁸ for
21 invasive procedures like interventional
22 cardiology is still lagging. We haven't
23 really, you know, started to come to terms with
24 that the way a lot of the other procedural
25 subspecialties have.

27 Critical access hospitals

28 Ambulatory surgical centers

1 And we talk about, you know, the
2 why, and there's a variety of things. But
3 financial actually ends up being at the top of
4 everybody's list every time we bring it up, so
5 you are right.

6 However, having said that, there are
7 within systems or practices, opportunities for
8 us to save on dollars by being at outlying
9 institutions within a system. So as I -- as
10 somebody mentioned earlier, we don't have as
11 many individual practitioners, right.
12 Everybody's employed now.

13 But even employed hospital systems
14 have less expensive hospitals and less
15 expensive community centers that are part of
16 our network. And so in those networks, you
17 really realize that we're pushing a lot of air
18 out into the community where people live.

19 Because we can get it done faster.
20 It's just as good because it's the same people
21 who are working out there as there are working
22 anywhere else. And the patient satisfaction is
23 generally greater when you're not coming into
24 the larger institution, but rather in the
25 community-affiliated hospital.

26 So in those models where we have,

1 you know, everybody is invested in the same
2 concept and the same thing, it's working fine,
3 ASCs have not really taken off for us just yet,
4 partly for the reason you said.

5 I wanted to go back for one minute,
6 oh no, I forgot it. All right, when I remember
7 it I'll come back. There was another thought
8 based on what Kevin had said.

9 Kevin, I'll turn it over to you.

10 DR. BOZIC: Well, I know we're at
11 the end of time. I'll just say I think site,
12 and this is me speaking, not the AAOS, I think
13 site neutrality is incredibly important for
14 delivering value.

15 Right now, I work in a health system
16 that we literally measure and hold hospital
17 operators accountable to how many joint
18 replacement procedures they can keep in the
19 inpatient setting, not HOPD²⁹, not ASC.

20 Because the margins are
21 astronomically higher in the inpatient setting.
22 Once you flip to the HOPD, they drop. And then
23 once you go to the ASC, you're at least losing
24 50 percent of the revenue because you don't own
25 100 percent of it, and the margins are even

29 Hospital-based outpatient department

1 lower.

2 And so there's strong incentives the
3 way the payment system's set up right now to
4 keep procedures in the hospital and not in the
5 lower-acuity setting that's more convenient for
6 patients and more appropriate.

7 We learned during COVID that we can
8 do a lot of procedures safely in the -- in the
9 ambulatory setting. But to do that, we have to
10 partner with health systems to say what can you
11 backfill with high-complexity, high-acuity care
12 that should stay in the hospital, rather than
13 keeping low-acuity care in the hospital, which
14 is not good for patients, and is certainly more
15 expensive.

16 CO-CHAIR HARDIN: Thank you so much.

17 DR. BHATT: I remembered the second
18 thing. But I just want to add back to Kevin.
19 We are forcing, right, we are forcing low-
20 acuity care into the community hospitals where
21 we have systems. And so I think it is
22 happening. But I'm not sure what'll happen with
23 ASCs, and I don't know that we'll get to site
24 neutrality with ASCs because it's such a
25 different model.

26 I just wanted to mention the PROMs.

1 When we talk about taking what Kevin's doing
2 and moving it to other subspecialties in scale,
3 there is a large effort in cardiology to take
4 patient-reported outcome measures, which we've
5 had for a long time and are very good, and
6 really push those to be one of the ways that we
7 judge value, even within fee-for-service, our
8 view-based models.

9 So I think that will come first in
10 cardiology before a lot of other things that
11 are value-based, because our patients are
12 pushing for it, and so are we. We're also
13 pushing for it internationally, FYI, to really
14 get the same, especially in heart failure, the
15 same metrics be the patient-reported outcome
16 measures kind of globally that we look at.

17 So I do think you'll see that in
18 cardiology soon.

19 CO-CHAIR HARDIN: So I want to thank
20 each of you, Judy, Christina, Brian, Ami, and
21 Kevin, for this very rich dialogue. We
22 probably could have spent an hour with each of
23 you independently, but your perspectives are
24 very valuable.

25 At this time we're going to take a
26 break and return at 10:50. We have a really

1 engaging panel roundtable physician discussion,
2 so we hope you'll join us then.

3 We'll see you back at 10:50, thank
4 you.

5 (Whereupon, the above-entitled
6 matter went off the record at 10:42 a.m. and
7 resumed at 10:52 a.m.)

8 * **Roundtable Physician Panel**
9 **Discussion: Enhancing Specialty**
10 **Integration**

11 CO-CHAIR SINOPOLI: Good morning.
12 And welcome back.

13 When we were planning this PTAC
14 session, we wanted to prioritize hearing from
15 physicians that are actually practicing on the
16 front lines. And that's why this group was
17 actually selected. We really want to
18 understand your real-life experiences with
19 population health models and care coordination.

20 I've asked our panelists to go ahead
21 and turn the video on, if you're not already
22 on. It looks like you're already on.

23 And what I'm going to do is I'm
24 going to briefly introduce each panelist. Then
25 I want you to introduce yourself. And if you
26 have slides, I know that a few of you have

1 slides to present. And if not, if you have a
2 short discussion that you want to have, go
3 ahead and do that.

4 The full biographies of our
5 panelists are on the ASPE PTAC website, along
6 with other materials for today's meeting.

7 And after you all introduce yourself
8 and share with the group whatever you have to
9 share, we have some structured questions that
10 we'll walk through. But then after a few
11 questions, I'm going to open it up to the PTAC
12 Committee for questions to you and for a
13 discussion.

14 So we'll start out with Dr. John
15 Birkmeyer, who is President of Medical Group at
16 Sound Physicians. Welcome, John. Do you want
17 to make any statements or share anything?

18 DR. BIRKMEYER: Sure. Good morning,
19 everybody.

20 So my name is John Birkmeyer. I'm a
21 general surgeon, a health services researcher
22 by background. I grew up with the Dartmouth
23 Atlas. And I'm President of Sound Physicians.

24 Sound Physicians is a large-scale
25 medical group specializing in inpatient care,

1 so EM³⁰, hospital medicine, critical care, and
2 anesthesia. We employ a little over 5,000 docs
3 and APPs³¹. And we have practices in
4 approximately 350 hospitals across the country.

5 You know, my part of the ecosystem
6 tends to get painted with a broad brush. But
7 we're generally viewed as one of the good guys.
8 So we're physician founded and led. We like
9 have never been in the surprise billing
10 business.

11 And, you know, uniquely, we're one
12 of the early adopters of the interface between
13 hospital-based care and value-based payment
14 models. We were an early entry, an early
15 entrants.

16 And up until last year, we were
17 probably the largest single episode initiator
18 for the Medicare bundled payment initiative.
19 We have a very large long-term care ACO. And
20 we have, and all of our national contracts with
21 private payers are value-based.

22 You know, just given the theme of
23 this session, you know, I'll close by, you
24 know, leaving you with a couple of thoughts or
25 at least a couple of recommendations about how

30 Emergency medicine

31 Advanced practice providers

1 to think about the role of specialists in
2 Alternative Payment Models. This is something
3 that I and we have thought a lot about.

4 And recommendation number one is,
5 you know, to rethink how we categorize
6 physician specialties. You know, as I've seen
7 and, you know, some of the agenda for this
8 panel and otherwise the dichotomization is
9 primary care versus specialist.

10 And I would tweak that a little bit
11 to say that it's generalists versus
12 specialists. Generalists consist, obviously,
13 of groups like primary care providers. But
14 they include a lot of folks working outside of
15 ambulatory settings, such as EM docs and
16 hospitalists.

17 A generalist, of course, is somebody
18 that treats patients across a full array of
19 conditions and organ systems. But relative to
20 this discussion, they are just like PCPs in
21 that they influence not only quality but total
22 spending by serving as gatekeepers for
23 downstream services rather than what they
24 themselves do.

25 The second and somewhat correlated

1 recommendation is to design APM³² models, you
2 know, that reflect the most important levers
3 for each type of specialty.

4 So, for example, for emergency
5 medicine docs, their biggest impact on health
6 care spending is decisions about who gets
7 admitted and who doesn't get admitted from the
8 ED³³. You know, so their most important role in
9 the APM world is probably in payment models
10 that at least pull them into a share of, you
11 know, the spending attached to hospitalization
12 rates, you know, alongside the PCPs that are
13 upstream of them.

14 Hospitalists, and this is kind of
15 largely what Sound Physicians does, influence
16 total spending by what happens, by not whether
17 people get in the hospital, but what happens
18 during and afterwards. So, by far, the biggest
19 impact of hospitalists is in decisions about
20 where people go after discharge.

21 So it's the use of facility-based
22 post-acute care. It's readmissions. It's
23 managing what happens for patients in SNFs³⁴.
24 And it's basically managing end-of-life care

32 Alternative Payment Model

33 Emergency department

34 Skilled nursing facilities

1 and aligning intensive care with patients'
2 values and their preferences.

3 So it's not surprising that
4 hospitalists best align with those types of
5 spending and, you know, probably with episode
6 payment models rather than with population
7 payment models.

8 And finally, specialists are so
9 heterogeneous as to be impossible to leave sort
10 of a single recommendation. But, you know, at
11 least, you know, most of them best align with
12 population rather than episode-based spending
13 models, you know.

14 And I think at least for
15 procedurally-oriented specialists, specialty-
16 specific spend per capita or procedure rates
17 per capita are, you know, probably the biggest,
18 you know, segment of population spend that they
19 should be considered for.

20 So thank you, everybody, for the
21 time.

22 CO-CHAIR SINOPOLI: John, that was
23 great. I appreciate all that input.

24 So next we have Dr. Nichola Davis,
25 who is Vice President and Chief Population
26 Health Officer at NYC Health and Hospitals.

1 Nichola, do you want to make some statements
2 and introduce yourself?

3 DR. DAVIS: Sure, sure. Thank you.
4 Good morning.

5 So really I'm excited to be here
6 this morning. And I want to just thank you for
7 the invitation to participate in such a timely
8 and really important discussion.

9 So I'm a general internist by
10 training and an obesity medicine physician.
11 And I lead population health at New York City
12 Health and Hospitals.

13 Just in the way of a little bit of
14 background, New York City Health and Hospitals
15 is the largest municipal health care system in
16 the country. We deliver high-quality health
17 care services to all, regardless of their
18 ability to pay, regardless of insurance status,
19 regardless of income or immigration status.

20 We serve over a million New Yorkers,
21 and these are some of our most vulnerable New
22 Yorkers, each year out of 70 locations with,
23 throughout all of our five boroughs. And most
24 of our patients are insured by Medicaid, or
25 they may be uninsured.

26 So our system includes 11 hospitals,

1 five post-acute and long-term care facilities.
2 We have a large network of federally qualified
3 health centers, as well as home care services
4 and an Accountable Care Organization, as well
5 as a health plan.

6 So, across our health system, we
7 have over about 700,000 primary care visits and
8 a disproportionate amount of specialty visits,
9 with about two million specialty visits each
10 year.

11 Several years ago, I'd say about
12 five years ago, we went to a single electronic
13 medical record across our entire system. And
14 that has been really critical in helping our
15 specialty and our primary care providers to
16 really coordinate the care of the patient.
17 Just being able to see what each provider is
18 doing has been really important.

19 So I'd like to just highlight a
20 couple of things that we've been doing in terms
21 of just how we are integrating specialists and
22 primary care.

23 And one of the things that we've
24 really worked on is building out e-consults.
25 And for us, e-consults are asynchronous. And
26 they're within our system. So they're within

1 providers within our integrated system. And it
2 really has been helpful in several ways.

3 One is that for specialists, we can
4 get the right patient to the right specialist.
5 And so specialists can review the chart before
6 for a patient that's been referred to them, for
7 example. And then the specialist can determine
8 whether or not they actually have to see the
9 patient or whether they can just provide a
10 consultation without the patient having to come
11 into see them. And they could provide that
12 consultation and recommendation to the primary
13 care provider, which is really helpful.

14 And in a system like ours where we
15 have challenges with just access, it's really
16 helpful for e-consults to be able to triage
17 those visits and to determine how urgent a
18 visit might be, as well as to really think
19 about whether or not the specialist has all of
20 the information that they need.

21 So a specialist might refer back to
22 their primary care provider and say, and
23 recommend certain labs or testing be done prior
24 to that visit so that that specialty
25 consultation is really a good consultation with
26 all of the information at that time.

1 That's been, there definitely have
2 been challenges with rolling out e-consults,
3 which I'm happy to get into a little bit later
4 in our conversation.

5 But some of those challenges are
6 really just getting providers on board, both
7 the primary care providers, as well as
8 specialty providers on board and comfortable
9 with getting away from that culture of patients
10 having to be seen by the specialist.

11 Another thing that we've really
12 worked on is integrating our behavioral health
13 into primary care. And we've done that through
14 the collaborative care model over the years.

15 That has really been helpful in
16 managing our patients with depression and
17 anxiety. And we're now thinking about
18 expanding that model to include adolescents
19 with ADHD in particular.

20 And in that model, our
21 psychiatrists, we have consultant psychiatrists
22 that support our primary care providers and
23 within this collaborative care model where
24 there are collaborative care clinicians, who
25 are primarily social workers and nurses, that
26 will see the patients directly.

1 And then they will have case reviews
2 with a collaborative care psychiatrist. And
3 that psychiatrist will make recommendations
4 either to the primary care provider or to the
5 collaborative care clinicians.

6 And so that is a model that we've
7 also had for about seven years now. And we've
8 expand that throughout our system.

9 And as I mentioned, we also have an
10 ACO, which has about 7,500 attributed lives.
11 And that ACO is a shared savings model.

12 And one of the things that I'd like
13 to highlight there is because of the patient
14 population that we take care of, we really have
15 to focus on how we manage the social needs of
16 our patients. And through models like the ACO
17 where there are shared savings, those things
18 can be put back into, those savings can be put
19 back into the primary care practice.

20 And we've been fortunate to really
21 meet the benchmarks each year. So we have been
22 able to participate and receive shared savings
23 that have gone back into the practice to really
24 inform our model of care and be able to hire
25 other support staff where there may not be a
26 reimbursement model for us, for example,

1 patient navigators and community health
2 workers, things like that where there really
3 isn't a clear reimbursement model for it at
4 this time.

5 And those roles are really important
6 in working together as a team to help our
7 patients to achieve optimal care outcomes.

8 So I'm really happy to be here to
9 get into some of this discussion today. And I
10 look forward to the conversation.

11 CO-CHAIR SINOPOLI: Thank you,
12 Nichola. It was very interesting. I'm looking
13 forward to digging into that a little bit
14 deeper.

15 So next we have Dr. Carol Greenlee,
16 endocrinologist and owner of Western Slope
17 Endocrinology. Carol.

18 DR. GREENLEE: Thank you. I'm happy
19 to be here. And I also want to thank you for
20 the hard work that this Committee is doing.
21 It's amazing.

22 I am an endocrinologist in western
23 Colorado. But probably most relevant to the
24 PTAC and this panel is my work on the patient-
25 centered medical neighborhood.

26 Within the American College of

1 Physicians, I served as chair for several of
2 the medical neighborhood-related workgroups and
3 subsequent policy papers and toolkits.

4 And within the CMS/CMMI Transforming
5 Clinical Practice Initiative, I served as
6 faculty helping implement components of the
7 medical neighborhood. I also implemented it in
8 my own practice.

9 The medical neighborhood is intended
10 to reduce fragmented care. Fragmented care
11 occurs when we practice in silos of care with
12 poor communication between those silos.

13 The American College of Physicians
14 recognized that for the patient-centered
15 medical home to truly impact the harms and cost
16 of fragmented care, there needed to be better
17 connections to specialty care. However, there
18 were no established guidelines, no defined
19 standards for how to improve those connections.

20 So the ACP convened workgroups made
21 up of primary care clinicians, specialty care
22 representatives from a wide range of medical
23 professional societies, patient and family
24 advocates, and quality improvement and subject
25 matter experts. And these workgroups utilize
26 consensus, along with any available evidence,

1 to determine what is needed for better primary
2 and specialty care collaboration and care
3 coordination.

4 So the resultant patient-centered
5 medical neighborhood is not itself a delivery
6 model or a payment model. Instead, it's an
7 approach to coordinating care, connecting care,
8 care collaboration that can be used as a
9 framework inside of any care delivery model or
10 any payment model, including a population-based
11 total cost of care model.

12 Since a referral is usually the
13 first step in a primary/specialty care
14 interface, the first work focused on what is
15 needed for a high-value referral process. This
16 includes the critical elements of a referral
17 request and a referral response, critical
18 processes such as pre-visit review, close-the-
19 loop, and referral tracking. Next slide.

20 The first work also clarified the
21 definition of a specialty care medical neighbor
22 and the relationship of that medical neighbor
23 to the patient and to primary care.

24 The patient is, in this model, it's
25 actually the patient's medical neighborhood.
26 So the patient is the center of care. Primary

1 care is the hub of care. And specialty care is
2 an extension of care, with the role in care
3 determined by the needs of the patient and
4 their condition. Next slide.

5 Subsequent work looked at what is
6 needed for ongoing care collaboration beyond
7 that initial referral. This includes a
8 playbook on specific roles in care and their
9 associated care responsibilities and shared
10 expectations.

11 This diagram shows the spectrum or
12 the continuum in care and the division and
13 responsibilities between primary care, in blue,
14 specialty care, in dark blue, for a referred
15 condition.

16 Primary care maintains the hub of
17 care for the patient's ongoing needs. As the
18 roles move to the right, the responsibility for
19 the referred condition increases for the
20 specialist. And with a consuming illness, the
21 specialty care team may take on most management
22 issues for a limited period of time.

23 Now, a patient may have more than
24 one condition that needs assistance from
25 specialty care. So, for example, a primary
26 care clinician may use an e-consult to get

1 tele-derm advice on how to handle a skin
2 lesion. They may do shared care co-management
3 with the oncologist on cancer survivorship.

4 A rheumatologist may take on
5 principal care co-management for the elements
6 of care for lupus. And if they also have type
7 1 diabetes on top, an endocrinologist might do
8 principal care co-management for the diabetes.

9 These roles need to be fluid so that
10 if a condition worsens or deteriorates,
11 specialty care can take on more of the
12 management. Next slide.

13 But also if a condition improves or
14 resolves, there needs to be a pathway back to
15 primary care or to a lower involvement of
16 specialty care more appropriate to the
17 condition.

18 Right now a lot of patients get
19 stuck in primary care, excuse me, in specialty
20 care management because there isn't an
21 established mechanism for transitioning back.

22 And the playbook that goes with our
23 policy paper provides a pathway and shared
24 expectations for a safe and patient-centered
25 transition of care for a condition back from
26 specialty care to primary care. Next slide.

1 So these principles, processes,
2 critical elements, shared expectations provide
3 a way within any model to actually improve care
4 coordination, collaboration, and cohesion where
5 we're all working together instead of in
6 isolated silos to care for the patient.

7 And I look forward to further
8 discussion. Thank you.

9 CO-CHAIR SINOPOLI: Thank you.
10 Great information.

11 So next we have Dr. Jackson Griggs,
12 who's Chief Executive Officer at Waco Family
13 Medicine. Jackson.

14 DR. GRIGGS: Well, thanks so much
15 for the introduction. And I'm just so honored
16 to be a member of the panel. I'm a, I think a
17 small fish compared with some of the magnitude
18 of some of these systems, but nevertheless
19 delighted to be in the conversation with you
20 today.

21 So we're in Waco, Texas. We're a
22 15-site FQHC³⁵. We've been 24 years as an FQHC.
23 We've had a family medicine residency program
24 for 53 years that evolved into an FQHC 24 years
25 ago. And we have 61,600 patients, just shy of

35 Federally qualified health center

1 a quarter million visits. Seventy-three
2 percent of our patients fall below federal
3 poverty level.

4 And, you know, Texas has chosen to
5 be a Medicaid non-expansion state. So it makes
6 taking care of our population challenging from
7 a financial standpoint. We have 32 percent
8 uninsured. And that's going to grow as soon as
9 the public health emergency ends, and we start
10 unraveling the Medicaid extension that's been
11 in place. So next slide.

12 It's kind of the Wild West for FQHCs
13 in Texas in value-based arrangements. We are,
14 actually this is, compared with, again, some of
15 the scale of other panelists, you know, we're
16 just very early in our process.

17 But we've got, you know, upside
18 only, shared savings plans with a local ACO for
19 a small commercial insured population, Medicaid
20 health plan, Medicare Advantage. And we're
21 just entering into some downside risk shared
22 savings plan with another Medicaid MCO. And
23 we've just joined that FQHC-only ACO for
24 Medicare Shared Savings Plan for traditional
25 Medicare. Next slide.

26 But I think I was asked to speak on

1 the panel because of some of the work that
2 we've been doing for about the last decade in
3 behavioral health integration. And this is
4 born out of necessity because of the dearth of
5 mental health specialists in our region and
6 including a near absence of psychiatrists who
7 care for under-insured or publicly insured
8 patients.

9 We've become the de facto mental
10 health safety net in our community. We have,
11 this 10-year motto, which still rings true,
12 which is we're reminded that the cavalry isn't
13 coming. There is no wave of psychiatrists
14 that's coming. And we're going to have to take
15 care of the population.

16 So, out of need, we have built
17 accordingly with non-psychiatrists, behavioral
18 health experts, and ancillary tools in primary
19 care. And as we've only more recently begun to
20 lean into value-based contracts, we can see how
21 that early integration will dovetail in an
22 important way.

23 So really quickly let me introduce
24 those delivery transformation efforts in
25 behavioral health. So, first, we assume that
26 behavioral health is intrinsic to primary care.

1 And given the high prevalence of mental health
2 conditions and social factors that impact
3 health in our population, we've invited
4 clinical social workers to become a part of the
5 core primary care team.

6 And like work in primary care, and
7 unique from traditional psychotherapy settings,
8 we expect those clinical social workers to move
9 efficiently and sometimes juggle multiple
10 patients at once.

11 Our social workers, they screen for
12 common mental health conditions, aid in
13 diagnosis. They collaboratively develop
14 treatment plans and are just in constant
15 dialogue with PCPs throughout the day.
16 Sometimes they'll see patients before,
17 sometimes during, and sometimes after the
18 primary care clinician, depending on the
19 patient needs and the flow of the clinic.

20 And by bringing social workers onto
21 the primary care space, the inequities that
22 were previously seen within referral-based
23 mental health access really just dropped to
24 zero.

25 So the second little column there,
26 an eye towards, you know, population access in

1 the setting of scarcity, we emphasize, you
2 know, returning to functioning, focused
3 psychotherapy, psychopharmacology, and really
4 only when needed, stepping up to more in-depth
5 and resource intensive psychotherapy.

6 So we do offer a co-located
7 counseling service for evidence-based
8 treatments that build onto the work started in
9 primary care.

10 And then the third category, with a
11 high burden of substance use disorder in our
12 population, we are working to mainstream
13 substance use disorder diagnosis and management
14 to primary care.

15 But complicated cases need
16 specialized help. So we have a primary care
17 addiction medicine expert and a clinical social
18 worker operate a consultation clinic for
19 challenging cases. So, if we have a woman
20 who's pregnant who has alcohol use disorder,
21 she would be someone who would be seen in our
22 consultation clinic.

23 But the specialty clinic operates on
24 the premise that once the patient is, a
25 stabilized treatment plan is established and
26 the patient is stabilized, that patient then

1 returns to the primary care team, so I think
2 similar to what Dr. Greenlee was just
3 describing.

4 So this premise is the same premise
5 that's used by our human behavior and mental
6 health clinic, which is staffed by a clinical
7 psychologist and family physician.

8 The clinic takes the most
9 challenging cases from the entire population in
10 the center. And then rather than keeping a
11 patient for, you know, seven to 10 sessions,
12 the team works to get accurate diagnosis, a
13 good treatment plan, and then returns the
14 patient back to the primary care clinician.
15 And obviously, if the treatment plan fails,
16 then the primary care clinician would return
17 the patient back to the HBMH³⁶ clinic.

18 But stewardship really is kind of
19 the key here. If we don't have models where
20 scarce specialists are able to see the most
21 challenging cases and once stabilized, you
22 know, then return back to primary care, then
23 we're just not going to reach the population
24 mental health.

25 So a stepped care approach can

36 Human behavior and mental health

1 contribute to health and reduce health care
2 costs. Next slide.

3 And so we have, and this is just a
4 for instance in this kind of stepped care
5 model, our pediatric behavioral, behaviorist
6 program stepped care model. So, for children
7 who have suffered traumatic stress, which is
8 really most of our children, you know,
9 executive function, behavior regulation is much
10 more challenging.

11 So externalizing behaviors, which
12 get classified as ADHD³⁷ or disruptive behavior
13 disorders, conduct ODD³⁸, require understanding
14 by all who interface with the child, so that's
15 front desk staff, nursing staff, et cetera, who
16 all get the training in child development
17 relationship attachment.

18 And then we train clinicians more
19 intensely in a model we developed and published
20 on how to coach parents to empathetically care
21 for their children, which has demonstrated
22 noteworthy improvements in outcome.

23 But when these preliminary
24 interventions fail, then those children get
25 referred to the parent/child interaction

37 Attention deficit hyperactivity disorder

38 Oppositional defiant disorder

1 therapy, a 10- to 12-session therapist guided
2 program, so, but again, you know, stepping up
3 to more intensive care and then stepping down
4 as we can.

5 So just a final comment about
6 stepped care model in primary care, it does
7 require some base level competency in primary
8 care. So next slide.

9 This meant for us once we began to
10 identify mental health conditions a decade ago
11 with much more higher prevalence because we
12 were integrating behavioral health, we realized
13 that our primary care team members were not
14 comfortable with psychopharmacology.

15 So we built decision support. There
16 was such avid uptake in that decision support
17 that we were building that we began to share
18 that decision support outside of our own
19 institution, ultimately, developed an app.
20 This is working collaboratively between our
21 faculty and Mass General Psychiatry Academy.

22 And so, you know, we now have, it's
23 called the Waco Guide to Psychopharmacology
24 Primary Care. You know, the last report, this
25 is outdated slightly, you know, we've got about
26 10,000 downloads and nearing 100,000, you know,

1 website users, just decision support to help
2 primary care doctors use psychopharmacology in
3 an evidence-based manner. And that has helped
4 a great deal our clinicians.

5 So that's the last thing I have. So
6 thank you.

7 CO-CHAIR SINOPOLI: Great. Thank
8 you. That was great information.

9 So next we have Art Jones, who is
10 principal of Health Management Associates.

11 DR. JONES: Yes, hi. I am a board
12 certified internist and cardiologist. I worked
13 with a community group while I was in training
14 on the West Side of Chicago in an underserved
15 area that led to starting a community health
16 center on the West Side back in 1984.

17 There were no new access points.
18 There were no look-alikes, so we got paid
19 \$13.65 for [code] 99213 and \$5 for uninsured.
20 Fortunately, the local hospital which had the
21 highest percentage of uninsured and Medicaid in
22 the state except for Cook County, didn't have a
23 cardiologist. So they paid me \$8.50 to read an
24 EKG, which was the money that supported the
25 health center because it only took me a minute
26 to read an EKG and 20 minutes to see a patient

1 for 99213.

2 So we realized that wasn't
3 sustainable. And so we started with primary
4 care cap in 1987 and over five years gradually
5 assumed capitated responsibility of a single
6 FQHC for primary care, specialty care,
7 outpatient diagnostics, behavioral health
8 pharmacy, ER³⁹, both professional and facility,
9 and took upside and eventually downside of a
10 single FQHC for inpatient services. When
11 Medicare went to managed care in '97, did it
12 with the same national companies for Medicare
13 Advantage, for Medicare, and also for
14 commercial.

15 And the strategy for this was to
16 realize that I needed to recruit not just
17 primary care providers at the FQHC but also
18 specialists who were willing to serve the
19 primary care provider decision-maker for really
20 complex patients. And in fact, most of our
21 PCPs were comfortable doing a lot of what they
22 were trained. But when it came to really
23 complex patients is that they often refer those
24 patients off in the multi-specialists who
25 didn't communicate with each other and didn't

39 Emergency room

1 lead to good intern -- good outcomes. Excuse
2 me.

3 So our strategy to manage total cost
4 of care was to bring on specialists that were
5 willing to serve those really complex patients
6 as the primary care decision-maker. I stayed
7 there for 27 years. I left in 2011 to help
8 create Medical Home Network, which is 13 FQHCs
9 and three health systems from the West Side of
10 -- serving the Chicago community.

11 We have 178,000 Medicaid
12 beneficiaries. We are totally delegated for
13 care management. We're NCQA-certified for care
14 management.

15 All the care management is done by
16 staff. They're employed by the FQHCs. We
17 progressed from shared savings to shared risk
18 to global risk.

19 And we've generated after covering
20 all of our cost over \$100 million in the first
21 six years and margin that went back to our
22 medical homes. Last year, we started a direct
23 contracting program. And this year, we are in
24 ACO REACH supporting 51 FQHCs in seven states,
25 about 50,000 Medicare beneficiaries in that
26 global risk contract.

1 And so I think the lessons kind of
2 learned, so you see actually I got introduced
3 as a consultant with HMA⁴⁰. I should've got
4 that changed. I'm the chief medical officer
5 for Medical Home Network, but I also serve as a
6 consultant for HMA where I try and spread the
7 experience that we've had at Medical Home
8 Network.

9 I think kind of the lessons that we
10 have learned over the years is that every
11 patient needs a primary decision-maker. And
12 sometimes that's a PCP and sometimes that
13 should be a specialist. It really depends upon
14 the comfort of the primary care provider with
15 what's really serving in that role, but
16 sometimes it's also a particular specialist.

17 I think that there need to be care
18 management dollars directed to that because
19 care management belongs at the practice level,
20 not at some centralized health plan someplace
21 belongs at the practice level. And it belongs
22 side by side with the primary decision-maker
23 for that patient. So that patient and their
24 family are working with one individual.

25 And yes, sometimes they need to do

40 Health Management Associates

1 consultations and referrals and get advice from
2 other specialists. But that person needs to be
3 the primary decision-maker. And then that
4 person, I don't we need new APNs⁴¹.

5 I think we need to change the MSSP⁴²
6 and the ACO REACH program to recognize that in
7 fact attribution needs to go to the primary
8 care decision-maker, which means that if you're
9 a specialist and you're willing to assume that
10 role, not all specialists are. If you're
11 willing to assume that role, then that's where
12 -- and you're providing the plurality of
13 ambulatory services, you should be the
14 attributed provider. As long as you're willing
15 to play that role and you're willing to be a
16 part of the integrated delivery system as
17 participating in that MSSP or ACO REACH.

18 And that is how we can pay
19 specialists. That's how we're going to keep
20 them engaged which is -- because that is
21 actually the most complex work, is to really
22 serve those complex patients with multiple
23 comorbidities, is to serve in that function.
24 And that's actually where the savings are.

25 So the money is there. We don't

41 Advanced practice nurses

42 Medicare Shared Savings Program

1 need to come up with a new way of paying for
2 specialists. We need to be able to allow them
3 to be the attributed primary care provider in
4 those programs.

5 CO-CHAIR SINOPOLI: All right.
6 Thank you for that. It's very good information
7 too. I'm going to ask a few scripted questions
8 just to get the conversation started, and then
9 I'm going to ask my PTAC colleagues to chip in
10 and ask some questions. They've been pretty
11 engaged today.

12 And the focus of this meeting
13 obviously is integrating primary care and
14 specialty care. And so I'm going to start out
15 with a question. What approaches have you used
16 to encourage increased coordination between
17 primary care and specialty providers, and what
18 challenges have you faced? And have you gotten
19 around those challenges? And Art, I'm going to
20 go back to you first.

21 DR. JONES: Yeah, so I think I sort
22 of answered that question as far as my
23 preferred model, which is to put them in the
24 same delivery system and make sure that we have
25 the appropriate person. We also e-
26 consultation. The challenge with e-

1 consultation is getting adoption, and also
2 there's no payment methodology.

3 There is within an organization that
4 is assuming global risk. So we'll use that as
5 well. Within Medical Home Network, we also
6 allocate total cost of care savings as we
7 allocate it to PCPs. But we also allocate it
8 to the health systems.

9 The reality in the city and
10 medically underserved areas, those specialists
11 are employed by the hospitals. And so we set
12 up metrics and make sure we reserve a certain
13 percentage of savings that are going to
14 specifically underwrite the cost of those
15 specialists. And so in that sense, they are
16 also incentivized through their employers and
17 through usually the health systems to reduce
18 total cost of care and improve patient
19 outcomes.

20 CO-CHAIR SINOPOLI: Perfect.
21 Jackson?

22 DR. GRIGGS: Yeah, so I think that
23 coordination of care sort of hinges on there
24 being specialists to coordinate with. And so
25 in the behavioral health world, particularly in
26 areas like Texas where there just aren't

1 psychiatrists to coordinate with, we end up
2 doing a great deal of that work internally. I
3 definitely agree with Dr. Jones that when that
4 can be coordinated within one system that it's
5 much better for patients, and cost comes down.

6 I'd be curious to hear more from Dr.
7 Davis, who mentioned a real success with the
8 collaborative care model because what we found
9 is that once we really tooled our primary care
10 clinicians adequately that the benefit of
11 having a psychiatrist consultant who was
12 reviewing charts and offering advice just went
13 to about zero. In fact, we just let our
14 psychiatrist go because it wasn't particularly
15 beneficial. It wasn't incrementally valuable
16 after our primary care clinicians had adequate
17 decision support.

18 Now, the key, the lynchpin of the
19 collaborative care model being the care
20 manager, that has been really imperative. And
21 more time for the more severe patients, more
22 contacts for the more severe patients. But the
23 psychiatry value has really diminished in the
24 collaborative care model for us.

25 CO-CHAIR SINOPOLI: Thank you.
26 Carol?

1 DR. GREENLEE: Yeah, so what we
2 found is that there's not -- most clinicians
3 want to improve care coordination and
4 communication. They really miss it. They want
5 it.

6 They're eager to improve it, but
7 they haven't had a way to do it. So what we've
8 done to implement it is use the medical
9 neighborhood model that I talked about starting
10 with the referral process, putting something in
11 place with shared expectations, processes,
12 enforcing things like close-the-loop. But it
13 also required practices looking at their
14 internal processes because there can be silos
15 within silos in a lot of practices.

16 And there's a lot of chaos and
17 wasted time and effort a lot of times around
18 the referral process, especially if the other
19 processes aren't in place. And I'll give you
20 an example. If there's not a close-the-loop
21 back from specialty care, primary care spends a
22 lot of time trying to track down the response
23 from the referral appointment.

24 And then they don't have time to
25 send a good referral request. And specialty
26 care has chaotic referrals coming in. So when

1 we can put a process in place on how to do that
2 care coordination, it really helped practices.

3 And this includes all types of
4 practices, including radiology where they often
5 don't get the clinical question, including work
6 with emergency departments where there's a lot
7 of referral out of patients. And hopefully,
8 that would reduce if there are more primary
9 cares being fully accountable. So having a
10 structured approach which includes internal
11 practice processes around the referral and then
12 making sure to incorporate patient-centered
13 processes and elements within that because
14 currently most referral processes are schedule-
15 centered.

16 It just amazed me how poorly
17 patient-centered, even the referral out from
18 primary care is, but especially the referral in
19 to a lot of specialty care. So I'm not sure if
20 I answered your question. But we found that
21 there needs to be -- there's not a scaffolding
22 now.

23 There's not an internal structure or
24 how to do care coordination. And so when we
25 provided that along with measures, like, how
26 often do you close the loop? What is your wait

1 time? What's your delay in care?

2 I'll say one cardiology practice
3 thought they had a wait time of three to four
4 weeks. And when they actually looked at the
5 data, it was three to four months. And at one
6 institution, only 18 percent of the time did
7 they send a response back to primary care. And
8 in many of the institutions that we worked
9 with, they didn't even have a way to send a
10 referral back if the -- I mean, a response back
11 if the referral came from outside of the
12 organization, which most of the referrals did.
13 So I will stop there.

14 CO-CHAIR SINOPOLI: That's a great
15 answer. John?

16 DR. BIRKMEYER: Sure. Well, let me
17 preface my answer to your question with a
18 couple of observations. The first is just a
19 reminder for folks that aren't quite as, like,
20 close to this. But, like, 47 percent of
21 Medicare Part A and Part B spending accrues to
22 acute hospitalization stays and the 90 days
23 that follow.

24 And the corollary to that is that
25 ambulatory-based primary care physicians
26 largely are independent of the main clinical

1 decisions that occurred during that window of
2 time. So we largely -- so we tend not to think
3 about kind of the same simple conceptual model
4 that's one hub where there's a primary care
5 physician at the center, and there's a whole
6 bunch of spokes where the hospital is a cost
7 center. And kind of I think about it as two
8 hub-and-spoke models or ecosystems, one that's
9 ambulatory care with a primary care physician
10 and everything that follows his or her
11 decisions in a hospital, and a hospital-based
12 physician ecosystem that really drives what
13 happens, like, around those acute care
14 episodes.

15 As we've tried to drive integration
16 between those two hub-and-spoke models or
17 systems, kind of there's two things that we
18 focused on. One, so I mentioned earlier, we
19 have value-oriented but still fee-for-service
20 contracts with United, Humana, and other payers
21 that directly incentivize both EM docs and
22 hospitalists where the interface between
23 themselves and primary care physicians after
24 the hospitalization episode [is]. And those
25 include warm handoffs, metrics that tie patient
26 risk status to being seen by a PCP within seven

1 days, in use of IT systems that help close some
2 of the gaps and help mitigate the extent to
3 which patients are on the other side of the
4 moon to PCPs when they enter the hospital.

5 And then finally, aside from
6 incentivization around that type of care
7 coordination, I think there's a couple very
8 practical things that we're incentivized and
9 paid to do basically to help patients reenter
10 sort of the ambulatory care space after the
11 hospitalization episode. And one is we have
12 universally implemented post-discharge
13 telemedicine service that basically oversees
14 both in-SNF care or home health certifications
15 and oversees what happens to patients while
16 they're still getting home health care after
17 hospitalization discharge. In many cases, the
18 hospitals that were managing patients for their
19 acute care illness are much better positioned
20 to manage what happens immediately afterwards.
21 And it creates more seamless handoffs than what
22 historically happens when patients just show up
23 on day one after hospitalization to a PCP's
24 office.

25 CO-CHAIR SINOPOLI: Thank you.
26 Nichola?

1 DR. DAVIS: Sure, yeah. I think
2 just to echo what others have said, I think one
3 of the key things is communication and how the
4 specialist can communicate back to the primary
5 care provider and to really create systems that
6 can facilitate that. So for us, a major
7 transition when we went to a unified EMR. And
8 so much of our specialists in primary care
9 communication is within our system.

10 Now when we have patients that go
11 outside of the system, it really is
12 challenging. And you almost need someone to
13 really do the tracking and get that information
14 back. And sometimes that falls on the
15 provider.

16 And I think we can all agree that's
17 not a good use of a provider's time, to track
18 down exactly what has happened to those
19 referrals and to try to get that information
20 back. So I think things that we can do to have
21 interoperable electronic medical records would
22 be really key and a step forward in being able
23 to just facilitate that coordination. And I
24 think if I could just answer or address Dr.
25 Griggs' comment around the use of consulting
26 psychiatrists in our collaborative care model.

1 We still continue to have value with
2 our consulting psychiatrists. They support
3 multiple practices. And one of the things that
4 they're doing -- one portion of their work is
5 providing that advice to primary care providers
6 around psychopharmacology and all of that.

7 The other key aspect of their work
8 is actually supervising our social workers. We
9 have early career social workers as opposed to
10 social workers that have been out in the field
11 for a while. And that's been one way of really
12 cutting down on that cost.

13 So having early career social
14 workers, who are still relatively in training,
15 as well as our nurses. And so our
16 psychiatrists are really used to support those
17 clinicians as they start to take care of our
18 patients who have varied behavioral health
19 conditions. And our consulting psychiatrists
20 also at times do see those patients who have
21 been more challenging to manage within the
22 collaborative care model and might need more
23 direct care. So I think I will end there.

24 CO-CHAIR SINOPOLI: Perfect. So the
25 next thought is what have been the most
26 effective payment mechanisms you all might've

1 used to incentivize the primary care and
2 specialty integration, and what shared savings
3 models or other PMPM⁴³ models? Or what are you
4 using, if anything, have you found that's been
5 necessary at all to help facilitate that
6 integration? So I'll go back and start with
7 John.

8 DR. BIRKMEYER: Sure. Appreciate
9 that. Well, I alluded to kind of one common
10 model by which we incentivize entire
11 coordination in our payment models between
12 inpatient physicians and PCPs that resume
13 ownership after hospitalization. And that's in
14 the form of our national contracts with our
15 main commercial payers. But the second model
16 that we have in place and many large markets,
17 particularly large markets where there's very
18 large, well organized, risk-oriented primary
19 care groups. And I'm taking up, like, some of
20 the large groups that often fall within the
21 Optum family.

22 The model that we have in place with
23 them is one that doesn't go through a payer but
24 is really an incentivization arrangement that
25 flows from the primary care groups to the

43 Per member per month

1 inpatient physician groups. And specifically
2 EM and hospitalists. So in those arrangements,
3 we get incentive payments that tie to a small
4 number of specific spending metrics that are
5 largely beyond the control of ambulatory PCPs.
6 And those are readmissions, next site of care
7 metrics for the use of host discharge SNFs and
8 total spending on specialty consultations
9 within 30 days of discharge.

10 CO-CHAIR SINOPOLI: Great, thank
11 you. Nichola?

12 DR. DAVIS: Yes, so as I mentioned,
13 we do have primary care -- we have a Medicare
14 ACO which is really based within our primary
15 care practice. And so our specialists are
16 aware of it. But I wouldn't say that they're
17 fully integrated into that model.

18 Some of the things that are done
19 with shared savings does go into the practice
20 as a whole, though. And so which would include
21 the specialists that are a part of that general
22 practice so they can participate in what we
23 call, like, the team funds that will go back
24 into the practice based on those shared savings
25 that come from the ACO. And they can get
26 benefits from that. So I think just having

1 them be aware and be partners in the care and
2 in the outcomes of those patients that are in
3 those models like the ACO has been important.

4 CO-CHAIR SINOPOLI: Perfect, thank
5 you. Carol?

6 DR. GREENLEE: So I'm not part of a
7 large system. But I can give you some
8 examples. A local independent physicians
9 association in Colorado using (audio
10 interference). Now this is just working on
11 getting primary care and specialty care to
12 communicate better, coordinate better.

13 The first time they did it, they
14 withheld some of the payments. But they worked
15 with a local payer. And to earn back those
16 payments, they needed to meet certain criteria,
17 like, sending a referral with a clinical
18 question, answering the clinical question, that
19 type of thing just to get started.

20 What Denver Health did is they
21 included metrics around care coordination when
22 they're assessing specialty care. So they
23 actually kept track of how often they closed
24 the loop, how long it took to get that response
25 back, and a few other metrics that they tie in
26 that contribute on top of the specialist RVU

1 salary. So those are at least two incentives
2 that I'm aware of to improve specifically care
3 coordination and communication.

4 CO-CHAIR SINOPOLI: Thank you,
5 Carol. Jackson?

6 DR. GRIGGS: You know, again,
7 probably too early to -- in the journey to
8 speak authoritatively on that. We're banking
9 on the fact that because compared with those
10 who lack mental health conditions, those who do
11 have mental health conditions have higher
12 incidence of hypertension and heart disease,
13 diabetes, asthma, other chronic conditions.
14 And folks with mental health illness are more
15 likely to be hospitalized for medical
16 conditions and medical spending on those mental
17 health conditions.

18 It's three times higher than those
19 without. But this population that we're
20 investing in right now through advanced
21 integration is as we build out more value-based
22 arrangements. It's going to -- particularly
23 because of the high prevalence in our
24 population, be of benefit. But I can't speak
25 authoritatively to how we've captured those
26 dollars and reinvested it to further

1 incentivize the project.

2 CO-CHAIR SINOPOLI: Thank you. And
3 then Art?

4 DR. JONES: Yeah, so one of the
5 problems we face again with the Medicaid
6 population, not to mention the uninsured
7 population, is a lack of specialists willing to
8 serve that population. And so things that we
9 have that we're rolling out this year, so we're
10 just about to roll it out, is to actually give
11 salary support and pay a specialist for half a
12 day a week to cover a slot half a day a week in
13 which we're going to give them salary support.
14 And during that half a day, they will do two
15 things.

16 One is that they will respond to e-
17 consults. And secondly, they will actually be
18 scheduled to see, have phone consultations with
19 primary care providers to really cut down the
20 wait times. And the appeal to the specialists
21 is that they get frustrated because they
22 realized there's not enough of their colleagues
23 willing to serve that population.

24 So the more that they can do to
25 actually improve the ability of primary care
26 providers to serve those particular and meet

1 those needs, and a lot of that happens because
2 unlike usual consultations where there's one
3 note, there's not an ongoing back and forth
4 conversation. With e-consults, you can do that
5 and even better with phone consultations.
6 We're going to roll out this year, it's paying
7 them for salary blocks to cover their cost to
8 provide that type of service. We'll see how it
9 works, but that's our plan for this year.

10 CO-CHAIR SINOPOLI: Great. Thank
11 you for that. And we have a question from one
12 of our PTAC members, Jim Walton. Jim?

13 DR. WALTON: Yeah, thank you. It's
14 kind of for Jackson and Art. Thank you both
15 for -- well, all of you for being here and
16 particularly Art and Jackson I've worked with
17 in the past.

18 My question kind of ties into kind
19 of what Art just answered a little bit. And I
20 want to kind of bring it back. One of the
21 things that PTAC, that I've learned being on
22 the Committee, is interested in is the idea of
23 health equity -- the ideas of health equity and
24 also the space of rural, semi-rural,
25 underserved areas.

26 And Jackson, I think what I know

1 about what you've done and what you've
2 explained today is that you solved a problem
3 because of lack of fill-in-the-blank behavioral
4 health support to the point where you've got a
5 working model. And I would argue maybe what
6 Carol was talking about and ACP has been
7 talking about, which is this medical
8 neighborhood. You actually have it next door
9 or in the same building, right? It's co-
10 located.

11 But I'm also aware of the financial
12 realities of FQHCs and other rural doctors when
13 I was practicing in Waxahachie. If I was still
14 there and if I was trying to put together a
15 medical neighborhood of specialists, I would be
16 challenged economically with how to do that.
17 Let's just say if I wanted to care coordinate
18 complex patients that have low income and low
19 health literacy, and they'd been marginalized
20 on and on with complex problems, how could we
21 actually break through that, right?

22 And I hear that CMMI and PTAC are
23 very interested, like, laser focused on that
24 question, right? And so that's why it's so
25 important that you all are both here today to
26 talk about that, right, in my view because we

1 have this historical burden on generations of
2 issues around these populations that are
3 experiencing today and tomorrow, they will
4 continue to experience, inequity in both access
5 and care delivery and outcomes. And so what
6 could you -- what would you say, right?

7 What if you said, hey, if you could
8 give the ACO that I'm contracting with, X, I
9 will create a medical neighborhood around Y to
10 complement what I've already built, Z, because
11 you built it with your own money. And I think
12 what I hear Art saying is, hey, we're going to
13 now start. We've got an idea of where we're
14 going to pay half day for e-consult and phone
15 consultation with PCPs.

16 He's, in effect, a virtual medical
17 neighborhood builder. But he's using funds
18 from somewhere, right? But those funds are not
19 necessarily easy to get access to.

20 And Art can speak to how he got
21 access to those dollars in his contracting
22 mechanism. But I'm a little bit more familiar
23 with Jackson's world coming from Texas. And
24 I'm so, I'm just curious, Jackson, if you would
25 go first, and then Art, maybe you'd comment on
26 him, is what could you advise us, to PTAC?

1 Like, what could we actually
2 recommend ACOs that are on a total cost of care
3 professional cap? What could they do to help
4 you build out what you need for your patients
5 to create more equity? And where would you
6 start after BH⁴⁴ and the lessons that you've
7 learned with BH?

8 DR. GRIGGS: So multi-part question,
9 Jim. So if I don't get to answer all the
10 pieces of it, just ask me again. But as I
11 demonstrated with payer mix, we live on,
12 really, a knife's edge financially.

13 And it's really exciting to hear
14 about the larger groups of FQHCs that have been
15 able to solve for this. We can tolerate some -
16 - in bigger systems, upside risk seems to be
17 like no risk. It really is for us.

18 If we make some investments in an
19 initiative using time and resources. And then
20 there is no positive ROI⁴⁵, then that has real
21 meaning to us. I mean, I think that there's
22 more anxiety, of course, around downside.

23 But for us, even the upside is
24 anxiety provoking. So the notion of either an
25 ACO or health plan investing on the front end

44 Behavioral health

45 Return on investment

1 and in the initiative and bearing the risk. In
2 other words, if, for example, we need
3 additional care management staff or care
4 coordination or fill-in-the-blank that's not
5 borne by the FQHC, the investment.

6 Now if the contract were such that
7 as positive ROI occurred, some of that
8 investment gets paid back, almost in the form
9 essentially a loan. But it gets paid back
10 whenever either shared savings are achieved or
11 whatever, then that seems like a viable way to
12 win for us because we have new services for our
13 patients. Win for the health plan or the ACO
14 because they're getting better quality.

15 But if there isn't a positive ROI,
16 then we're still not holding the investment
17 even again in the upside only. So that would
18 be one way of making an arrangement such that
19 those of us who are just so constantly
20 conscientious about every dollar because we're
21 always a hair's breadth away from deficit would
22 work. Now from the standpoint of equity, I
23 think that what we have seen in mental health
24 is -- and I think this is corroborated by the
25 literature.

26 It's that different subpopulations

1 for very clear historical reasons have less
2 trust in various aspects of the health care
3 ecosystem. So while trust in primary care
4 might be relatively high, trust in mental
5 health services might be lower. So by virtue
6 of saying, hey, this is a part of the basket of
7 services that we provide in primary care.

8 When you come through our door,
9 we're going to offer you behavioral health
10 services as an aspect of primary care. Then
11 the disparity between -- access disparity
12 really goes to zero because the populations who
13 tend to be more reluctant to see a psychologist
14 are now seeing a mental health specialist in
15 the primary care arena. Similarly, I just
16 think there's such value in co-location.

17 Next door, let me introduce you to
18 the cardiologist who's here today. So in those
19 instances where that's feasible, that's
20 outstanding. But if you're in Waxahachie or in
21 Muleshoe, Texas, then potentially the primary
22 care site being the place where you leverage
23 the trust with primary care and invite that
24 person to see the rheumatologist in the
25 telehealth platform.

26 Just right next door, hey, let me

1 usher you this room. Let me introduce you to
2 the rheumatologist or the rheumatology nurse.
3 And she's going to -- this is somebody I trust.
4 I think that could also similarly decrease the
5 disparities in access.

6 DR. JONES: So I would -- and so
7 first of all, we're fortunate enough the
8 Medicaid agency put out some grant
9 opportunities that they want to see practice
10 transformation. So the program I'm talking
11 about is being funded for a three-year because
12 it takes time to build it up and demonstrate
13 impact. It's being funded by the state.

14 And that is in distinction, for
15 example, to what CMS has done with advanced
16 payment under MSSP for safety net providers
17 because what they're not doing is they're not
18 asking for us to pay it back out of our
19 insurance savings. In fact, MSSP, if you make
20 it -- one of the changes I'd really recommend
21 you make is that if you borrow that, say,
22 \$250,000 for your MSSP. When you pay it back,
23 you're paying it back out of your portion of
24 savings, which is not fair.

25 I mean, so if, in fact, I use that
26 dollar to invest in care management, that

1 should count as a cost just like a medical
2 cost. It'd be shared by CMS, as well as the
3 providers. Why should all of that portion come
4 out of my portion of savings? So that's one
5 issue.

6 The second thing for federally
7 qualified health centers and for rural health
8 clinics is something called change in scope.
9 And so to the extent that you decide that, hey,
10 what I want to do is I want to move towards
11 adding specialists to my staff. You can appeal
12 to the state.

13 There's a mechanism, additional cost
14 impacts your encounter rate. So that's the
15 second methodology. A third methodology that
16 we're moving towards is moving away from the
17 fee-for-service chassis for reimbursing FQHC.

18 So we move to primary care cap.
19 There's also an option that says you can move
20 away from fee-for-service and move to an
21 Alternative Payment Model. So at Medical Home
22 Network, we've been at primary care cap.

23 There was nothing like primary care
24 cap during the pandemic. But we were already
25 moving in that direction. It's something as I
26 think you probably realized we did back in 2001

1 at our health center.

2 And what that does is give us the
3 flexibility to say, how are we going to
4 allocate our resources? How are we going to
5 best use a full care team and not worry whether
6 or not it turned into a billable visit? I've
7 worked now in nine different states under HMA
8 with FQHCs and their primary care associations
9 to move towards to develop a primary care cap
10 Alternate Payment Model.

11 I can tell you it takes about three
12 years. There's no reason for that. There's no
13 reason so that we can't streamline that process
14 that CMS can't come up and sort of say, well,
15 if an FQHC chooses to move from a fee-for-
16 service PPS⁴⁶ to a capitated PM⁴⁷, here is a
17 strong model. You can certainly flex it.

18 Then what that allows me to do, see,
19 the nice thing about ACO REACH is I can pay
20 primary care cap. The bad thing about ACO
21 REACH is that for FQHCs, Medicare is usually 10
22 percent of their population. I've got to put
23 in models of care under capitation for my
24 Medicaid and for my Medicare.

25 And quite frankly, they work very

46 Prospective Payment System

47 Plan Management

1 well for my uninsured because I lose money
2 every time I do a face-to-face visit with an
3 uninsured anyway. So I think another
4 recommendation to you is to go back and say,
5 can we streamline and make a uniform approach
6 to primary care capitation, which basically
7 takes historical revenue then (audio
8 interference) to their primary care services
9 and turns it into a prospective PMPM. And then
10 the last thing you're seeing is that
11 traditionally what's happened is the wrap
12 payment, which is the difference between the
13 PPS rate and what the MCO pays for the private
14 doc down the street.

15 There's an increment. That's called
16 -- that's why they do PPS is to reflect the
17 fact that they are required to provide
18 additional service beyond what a primary care
19 practice has done. What has happened
20 increasingly over the last few years is that
21 states have decided they're going to put that
22 wrap inside the premium and make the MCOs pay
23 it, which in effect what that does is it takes
24 that wrap in terms of shared savings and shared
25 risk and distributes those funds across all
26 providers, not just FQHCs.

1 So just think about it. If my PPS
2 rate is twice what the Medicaid reimbursement
3 rate is, and I have a shared savings on a
4 percentage of premium basis and every time I do
5 a primary care visit, my shared savings will
6 get strained twice that of the guy down the
7 street. It puts me at a severe disadvantage in
8 terms of performing.

9 So I think there's some real
10 concrete things that we can do particularly
11 around a safety net to really get them moving.
12 FQHCs are lagging behind in terms of value-
13 based (audio interference). And there are
14 things that can be done, very concrete things
15 that can be done to get them and make it
16 feasible for them to get involved and really be
17 chasing value-based payment arrangements.

18 CO-CHAIR SINOPOLI: Anybody else
19 have any other comments? If not, I'm going to
20 open it up to the other PTAC members. And
21 Larry has his hand up. So Larry, do you want
22 to go?

23 DR. KOSINSKI: Thanks for the
24 presentations, everybody. Nice to see you
25 again, Carol. My question is going to go both
26 to Dr. Jones and Dr. Greenlee.

1 I'm intrigued about your concept of
2 providing a -- I would imagine a PMPM payment
3 to a specialist when they become the principal
4 care provider, they're assuming care. I'd love
5 to tie this in with Dr. Greenlee. And I want
6 to understand this transition.

7 So a primary care doctor calls a
8 specialist in for a certain service depending
9 on an illness that now -- let's call it
10 diverticulitis. Patient goes in. They have
11 multiple medical problems, but they go into an
12 episode of diverticulitis which requires that
13 gastroenterologist to take over care.

14 How does the payment work there?
15 And then the transitions, and this is where Dr.
16 Greenlee would come in. How do we transition
17 these back and forth? How does it come back?
18 Because we know very clearly that these things
19 bounce back and forth between doctors for a
20 period of time.

21 DR. JONES: Who would you like to
22 respond first?

23 DR. KOSINSKI: Either one. I don't
24 care.

25 DR. JONES: I'll respond that I
26 think that the specialist that is willing to be

1 the primary decision-maker is someone who is
2 managing chronic conditions. So it's obviously
3 not a surgeon. I would even suggest probably
4 not necessarily a GI person with someone with
5 acute diverticulitis.

6 I think it is someone -- it's these
7 patients that have multiple chronic conditions
8 where they're willing to say I'm going to be
9 the primary care decision-maker. So it's your
10 patients with advanced CHF⁴⁸, your oncology
11 patient, your end-stage renal patients. It
12 might be COPD⁴⁹.

13 The nurse is saying, well, I realize
14 that this person has CHF. But I also realize
15 that he has diabetes. And so I'm going to
16 still be the primary care decision-maker, and I
17 may at times say I'm going to use an
18 endocrinologist to consult with me.

19 But I'm going to serve that primary
20 care role. And they have to be willing to do
21 that. And they can't act as traffic cops. And
22 that's what's happening too much in medicine
23 today, is that people with multiple conditions
24 go to the PCP, and the PCP feels overwhelmed.
25 So they just start sending out multiple

48 Congestive heart failure

49 Chronic obstructive pulmonary disease

1 referrals.

2 And the specialists don't talk to
3 each other because they're not paid to talk to
4 each other. They're paid for certain services.
5 So we need somebody who is going to be -- so if
6 that specialist is willing to play that role
7 for those complex patients, then I think they
8 should be paid a PMPM for that role.

9 And I think they should have --
10 they'll get paid for fee-for-service. But
11 their real incentive is just like any other PCP
12 group. Those people are attributed to me to
13 the extent that I reduce their risk-adjusted
14 total cost of care that I'm going to get a
15 portion of that savings.

16 DR. GREENLEE: I can say when we
17 were working on all the different stages of
18 patients and their medical home neighbor that
19 once -- in the beginning, every specialist
20 wanted to be in medical home mainly because
21 they didn't -- they thought they were going to
22 get more money that way. But when they
23 realized what advanced primary care is, we look
24 at primary care as a specialist in primary
25 care. And then the other specialists are
26 specialists in GI or even neurology.

1 But even within neurology, there's
2 subspecialists. Or within endocrinology, there
3 are people who only do adrenal, et cetera. And
4 most of it, even a neurologist that worked in
5 our work group did not want to take on primary
6 care. What they want is better coordination
7 with primary care.

8 But when a patient has a consuming
9 illness which for most of the conditions that
10 Dr. Jones just mentioned, at that time,
11 specialty care may need to be the team lead.
12 They may need to be the one that organizes
13 other referrals. They may use the patient's
14 preexisting specialty care like an
15 endocrinologist.

16 They may use the primary care. But
17 they're the main organizer around that critical
18 illness. They take first call if the patient
19 has pain, bleeding, fever, whatever.

20 But if that condition either goes to
21 end-of-life and transitions back to primary
22 care or gets better like with a liver
23 transplant or something, there does need to be
24 a transition back. And there needs to be
25 defined expectations around what is required
26 for that. And I think that's what you're

1 asking or how does that happen.

2 There needs to be agreement with the
3 patient, specialty care, and primary care about
4 transitioning back so no one is left feeling
5 frightened or unattended. Specialty care needs
6 to send the care plan for what's needed and
7 other documents, like, what happened during
8 this time so that primary care has that. The
9 patient needs to have a copy of the care plan,
10 what needs to be followed, what needs to be
11 done.

12 And specialty care needs to be
13 available to help primary care if they have
14 questions after that transition back. And the
15 patient needs to know that there's a safe route
16 back to specialty care if they need it, if
17 things get bad again. Larry, I don't know if I
18 answered what you were asking.

19 DR. KOSINSKI: No, I think you both
20 did a good job there. It's the serious chronic
21 longitudinal illness that may be best managed
22 by a specialist. And that has to be clearly
23 documented and communicated for the care team.
24 And then somebody who's paying the bills has to
25 be informed of that as well so that payment is
26 going to the appropriate provider.

1 CO-CHAIR SINOPOLI: I think John has
2 his hand up too. I think you're on mute.

3 DR. BIRKMEYER: I just wanted to add
4 one sort of additional observation in thinking
5 about how to share risk between primary care
6 physicians and specialists. And I agree with
7 Art that if you're thinking of it through the
8 lens of specialty -- of specialists sort of
9 medical homes, then I think the paradigm of co-
10 management of chronic illness by
11 rheumatologists, endocrinologists, ambulatory,
12 cardiologists, well, that model makes sense.

13 But on the other hand, before you
14 exclude other types of specialists, let's say
15 interventional cardiologists and cardiovascular
16 surgeons, while recognizing that those groups
17 never really take longitudinal management of
18 those patients, they make a small but real
19 number of decisions about, like, really
20 expensive things that just have a
21 disproportionate impact on total spending and
22 on variation in spending. So the medical home
23 model isn't exactly right. But there certainly
24 are models by which those types of groups could
25 be held accountable, upside and downside, to
26 not just quality but to total spending where

1 that population of patients for that portfolio
2 of, like, high-impact, high-cost things.

3 CO-CHAIR SINOPOLI: Thank you. So
4 the question I have is, are any of you using
5 non-physician resources, teams to help
6 facilitate communication integration from
7 primary care to specialty care? And are you
8 accessing chronic care management billing to
9 support any of that? And I guess I'll start
10 out with Nichola.

11 DR. DAVIS: Sure. So yes, we use
12 non-physician team members. We have nurse care
13 managers, for example, that will help with care
14 coordination. For our collaborative care
15 model, we're using nurses often in that model,
16 as well as clinical social workers.

17 And we're billing within the
18 collaborative care model. And so we are able
19 to do some billing for that care coordination.
20 We use a lot of -- we're fortunate to have a
21 lot of community health workers, and that's
22 been funding that's come from the cities.

23 And they do lots of non-billable
24 work right now. We hope that there will be
25 some movement in terms of reimbursement of the
26 work that community health workers do. And

1 they really help to -- help patients to kind of
2 navigate the system, make sure they're keeping
3 all of those multiple appointments that might
4 be between the primary care, the specialist.

5 Help the patient to kind of
6 communicate with providers and ask the right
7 questions. And so really help them to be
8 advocates of their own care and so that they
9 can help to kind of bridge some of that gap as
10 well, as well as really importantly helping to
11 address our patients' social needs. And I
12 think the other thing I would just say about
13 this conversation is we have to really be
14 thoughtful as to what the patient really needs
15 and what those patient outcomes are because I
16 think we often will live in those silos of
17 thinking about the specialist care outcome and
18 the primary care outcome and thinking about
19 this in terms of cost.

20 But we really also have to think
21 about all of those patient-related outcomes and
22 what's important to the patient as they're
23 navigating the system and going from primary
24 care to specialist and inpatient to outpatient.
25 It can really be traumatizing for the patient.
26 And really giving them the support so that they

1 can manage all of these different providers and
2 provider groups that they're in contact with is
3 really important too.

4 DR. GRIGGS: I'm so glad you said
5 that, Dr. Davis, because I was thinking the
6 same thing. It's so easy just to fall back
7 into that physician-centric way of thinking.
8 And people become cogs in a very complex
9 machine. We've got to be asking the patients,
10 do you understand or what matters to you, what
11 are your goals, as opposed to just assuming
12 that this organ is broken, so this management
13 needs to happen.

14 DR. GREENLEE: I would just like to
15 add that, yes, the medical neighborhood I
16 talked about is outpatient ambulatory. But it
17 certainly needs to interface with whoever is in
18 the hospital. But what we built into that made
19 it more patient-centered.

20 So we suggest that the primary care
21 clinician, when they're going to refer the
22 patient, talks to the patient about it. You'd
23 be amazed how many times right now a patient is
24 referred. And they don't even know they've
25 been referred.

26 Specialty care gets a referral, and

1 they're cold calling the patient. But we don't
2 want that to happen. We want the patient's
3 goals for the referral to be clear and give
4 them information about where they're being
5 referred, how to get there, what the role of
6 the specialty care is supposed to play, or what
7 primary care has asked them to just give
8 advice.

9 And if primary care can get it to an
10 e-consult, truly support that. But if the
11 patient needs to be examined, say, we're just
12 asking for advice or I want them to help
13 manage. And then to be also very clear once
14 you're stable, I'm going to take this condition
15 back over.

16 And again, it's for the condition,
17 not for the patient. Or this is
18 hypopituitarism with diabetes insipidus.
19 You'll probably have the endocrinologist manage
20 that for a long time.

21 But make the patient be part of it.
22 And that's part of that pre-visit review, too.
23 Which patients are more urgent? Which patients
24 really don't need to take the time to come in?

25 Which patient needs to really go to
26 urology instead of nephrology? All of that is

1 much more patient-centered. But building that
2 patient-centeredness into the referral process
3 and then the ongoing care as well. Thank you
4 all.

5 DR. JONES: Yeah, I would just
6 mention that Medical Home Network takes a
7 portion of our savings and passes it down to
8 the medical homes to hire community health
9 workers to do the collaborative care model, not
10 just for depression but also for hypertension
11 and diabetes. And we use the COMPASS model for
12 the collaborative care model for depression,
13 which basically proved with one of the CMMI
14 innovation challenge grants that showed that
15 using a trained community health worker in the
16 collaborative care model for depression was
17 just as effective in terms of patient
18 satisfaction, provider satisfaction, and PHQ-9
19 improvement as it was using behavioral health
20 clinicians. We do not have enough behavioral
21 health clinicians to serve our population.

22 So everything has kind of moved down
23 the slots. We end up having them be the
24 contact. It's clinically licensed social
25 workers and clinical psychologists at the FQHCs
26 that cover the cases.

1 And we use e-consult to go to the
2 psychiatrists when we need help in terms of
3 medication management. And so it's really kind
4 of saying, hey, again, that's the care team
5 approach. How do we best utilize because there
6 are not enough primary care providers and
7 behavioral health providers to serve the
8 underserved?

9 CO-CHAIR SINOPOLI: John, any
10 thoughts?

11 DR. BIRKMEYER: No, I don't really
12 have anything to add. Thank you.

13 CO-CHAIR SINOPOLI: All right.
14 We're getting close to time. But I am going to
15 ask one last question, and maybe we can just
16 make this brief. But just a question around
17 your access to data and how difficult an issue
18 is that. And where are you getting your
19 present data sets for particularly, in regards
20 to things like risk stratification and
21 specialty referral decisions, et cetera? So
22 I'll start with John.

23 DR. BIRKMEYER: So again, speaking
24 through the lens of care models that are based
25 during and around the acute hospitalization
26 stay. But our data come from three sources.

1 One is we get real-time and continuous feeds
2 from our hospital partners with regards to who
3 the patient is, where they come from, and what
4 clinical diagnoses they're actively managing.
5 We have our own IT platform that basically not
6 only manages physician billing but basically
7 runs through value-based care checklists, which
8 include social determinants of health to the
9 extent that they drive some of our key metrics.

10 And then finally, with a lag, we get
11 payer claims particularly from both
12 governmental and non-governmental payers with
13 which we have risk-based arrangements. Those,
14 kind of the data that we have, serve our fairly
15 narrow purposes. But what's really missing is
16 any connection between sort of that acute care
17 data flow and what's occurring on the
18 ambulatory setting, both prior to and after
19 acute episodes.

20 CO-CHAIR SINOPOLI: Great, thank
21 you. So maybe in contrast, Carol.

22 DR. GREENLEE: Well, when I worked
23 with practices around the country, it was
24 really hard to get any data on specialty care.
25 If you wanted to see what their unnecessary ED
26 -- preventable ED and hospital patients were,

1 it was really, really hard to get that. You
2 had to try to sort through ADT⁵⁰ data to see
3 which applied to the specialist.

4 So we ended up doing patient surveys
5 to see how often the patients felt they
6 couldn't get in to see the specialty care when
7 their condition exacerbated, if specialty was
8 the principal co-manager in that situation. I
9 know for our primary care clinicians locally,
10 one of the payers gave them just the spend for
11 specialist. But it's not divided down for how
12 complex the cases were.

13 But they knew which specialist spent
14 more money. And that was the limitation of
15 what they knew when they were going to select
16 who to refer to when they were in a capitated
17 Medicaid program to try to save money for their
18 program. So we need more data, I'll just say.
19 And it's really hard to get it for specialty
20 care.

21 CO-CHAIR SINOPOLI: Anybody else
22 want to comment?

23 DR. JONES: Yes, so Medical Home
24 Network gets complete claims data from our
25 payer, including pricing. We get medical

50 Admission, discharge, and transfer

1 claims on a weekly basis. We get daily
2 pharmacy claims data in addition to the ADT
3 feeds.

4 We also have an 84 percent
5 collection, HRA⁵¹ completion rate that includes
6 social determinants of health. And we're using
7 an AI vendor that dynamically risk stratifies
8 our patients. We high-risk care manage 3.2
9 percent of our population.

10 And that AI vendor puts in all the
11 data income stratification. It's specific to
12 our 178,000 Medicaid beneficiaries. And it
13 tells us who's going to most likely be
14 benefitted from high-risk care management.

15 DR. DAVIS: And I'll just add we use
16 a lot of our clinical data that is the bulk of
17 what we have. And it does have both our acute
18 inpatient episodes, as well as our outpatient
19 episodes, and as well as our specialty visits.
20 And we have risk-stratified our population
21 internally looking at various variables to be
22 able to estimate who's more likely to have a
23 future hospitalization.

24 And so that's really been helpful
25 data that we can use to then think about how we

51 Health risk assessment

1 proactively outreach and address that patient
2 population. We also incorporate some of the
3 data that might reflect their social needs,
4 like, whether or not they're a patient who's
5 experiencing homelessness. That can all go
6 into how we stratify and look at our patients
7 who are high-risk.

8 We also look at our scheduling data.
9 So we have access data for all of our clinics,
10 both our ambulatory care, as well as our
11 specialty clinics to see how long out the wait
12 is in terms of just availability or those
13 practices. One of the challenges, what we
14 don't necessarily have or takes a long time to
15 get into our system, is external utilization.

16 And so that's challenging to know
17 when patients are getting admitted outside of
18 our system. And it might take a long time for
19 us to get that information into our system.
20 But we do have lots of data that we're able to
21 use to proactively manage the patients that are
22 receiving care within our system.

23 CO-CHAIR SINOPOLI: Okay. Thank
24 you. So we've only got a minute left. I see
25 Walter has a quick question.

26 DR. LIN: Yes, hopefully, this will

1 be very quick. I just wanted to thank all the
2 panelists for being here. And this is a
3 question for Dr. Birkmeyer. John, it's nice to
4 see you again.

5 The current specialty payment
6 framework that CMMI put out last fall
7 contemplates paying specialists in part for
8 acute care episodes and bundled payment nested
9 within a more total cost of care total
10 accountability model. And I know Sound has a
11 lot of experience with bundled payments. I'm
12 just wondering if you can comment on the
13 interactions you've had with BPCI⁵² in the
14 setting of total cost of care models like ACOs
15 or other kind of total risk frameworks.

16 DR. BIRKMEYER: Well, thank you,
17 Walter, and good to see you again. Sound does
18 have huge experience in managing episode-based
19 alternate payment models. And we've run about
20 three or four hundred thousand acute
21 hospitalizations through those programs.

22 And really kind of the core measure
23 of success, both for patients, their families,
24 but also for managing total cost of care is
25 primarily around managing post-acute care in

52 Bundled Payments for Care Improvement

1 readmissions. And I think that's, like, very
2 much the sweet spot of hospitalists, which are
3 basically the PCPs of the inpatient setting. I
4 disagree with CMMI's focus last year when they
5 were trying to solicit input on the role of
6 other types of specialists.

7 I think that there is some role that
8 other types of specialists play with regards to
9 managing the acute hospitalization. But I
10 think certainly epidemiologically and from a
11 spending point of view, their biggest impact is
12 not in how efficiently those episodes get
13 delivered but in how many episodes there are.
14 So there are decisions about who needs surgery,
15 who doesn't need surgery, who needs to be
16 hospitalized, who doesn't.

17 That's really kind of where the
18 focus should be. And that's not an episode
19 payment model. That is a population payment
20 model.

21 CO-CHAIR SINOPOLI: Agree. Thank
22 you. We've unfortunately run out of time, but
23 this has been a great, great discussion. And
24 again, I want to thank all of the panelists
25 here for being able to participate in this
26 discussion. You've given us lots of

1 information to chew on. And again, just
2 appreciate your participation. Thank you.

3 DR. BIRKMEYER: Thank you,
4 everybody.

5 DR. DAVIS: Thank you.

6 (Whereupon, the above-entitled
7 matter went off the record at 12:22 p.m. and
8 resumed at 1:17 p.m.)

9 *** Public Comment Period**

10 CO-CHAIR HARDIN: Welcome back. We
11 have three people who have signed up to give a
12 public comment. I will announce your name and
13 your organization, and our moderator will
14 unmute you so that you can speak. First, we
15 have Tom Merrill, who is a principal at
16 Redstone. Please go ahead, Tom.

17 MR. MERRILL: Good afternoon. How's
18 my audio? Can you hear me?

19 CO-CHAIR HARDIN: Yes, we can hear
20 you.

21 MR. MERRILL: Okay, great. So yes,
22 this is Tom Merrill, principal at Redstone.
23 Real briefly, we're a research and advisory
24 firm that supports organizations in their
25 value-based care strategy work. Very
26 supportive of this Committee's work.

1 We do our best to encourage private
2 sector clients to take advantage of the great
3 thinking that's been produced here. Relative
4 to the comment we'd like to make, I apologize
5 if you covered this yesterday. But I was on
6 early and then got called away.

7 Eager to go back and review. But if
8 you didn't cover this already, just take this
9 as support that you're exploring the right
10 things. But we at Redstone would love to see
11 specialty population models developed around
12 specifically high-cost, high-needs populations
13 that can be defined by non-medical factors, for
14 example, social determinants of health. We
15 think the multi-visit patient work done up in
16 Massachusetts and New York state and the DSRIP⁵³
17 programs could be instructive here.

18 Plenty has been published on that front.
19 Relatedly, with private sector, we'd love to
20 see this group explore the misalignment between
21 more preventive health-oriented care models and
22 the limited annual timelines of most insurance
23 benefit design. We know this isn't a problem
24 in Medicare, per se, but clearly, this prevents
25 a huge portion of U.S. health care finance from

53 Delivery System Reform Incentive Payment

1 being able to justify payment for services that
2 often don't bear fruit until years later when a
3 member is likely with another insurance
4 company. So we believe that this could
5 probably involve more payment for measurable
6 health improvements rather than simply relying
7 on cost savings that may accrue years later.
8 So anyway, that's our comment. And again, we
9 love your work and appreciate the time and the
10 opportunity to comment.

11 CO-CHAIR HARDIN: Thank you so much,
12 Tom. We really appreciate your presentation.
13 Next up is Jennifer Gasperini, the director of
14 regulatory and quality affairs at the National
15 Association of ACOs. Please go ahead,
16 Jennifer.

17 MS. GASPERINI: Hi, good afternoon.
18 Thank you. NAACOS appreciates PTAC's focus on
19 this issue and the coordination with the
20 Innovation Center's work on specialty
21 engagement. NAACOS and ACOs share the
22 commitment to the administration's goal of
23 having all Medicare patients and most Medicaid
24 patients in an accountable care relationship
25 responsible for total cost of care and quality
26 by 2030.

1 And to achieve this goal, we must
2 focus on allowing providers to coordinate care
3 across the continuum of care, working together
4 to achieve optimal patient outcomes. This
5 includes engaging specialists and total cost of
6 care models like ACO models. After more than
7 10 years of payment model design innovation,
8 we've learned that having mandatory specialty-
9 focused bundled payment programs and primary
10 care-focused total cost of care models can lead
11 to overlap challenges that can create provider
12 and patient confusion and administrative
13 burden.

14 Designing specialty payment
15 approaches within a total cost of care
16 arrangement can create the proper incentives to
17 encourage coordinated care across the care
18 continuum. To support ACOs in this work, there
19 must be more data transparency to give ACOs and
20 specialists access to quality and cost data to
21 inform referrals to high-value specialists and
22 create financial arrangements and incentives
23 that encourage this coordination. There must
24 be flexibility to allow ACOs' plans and other
25 entities to design approaches that are best for
26 their population.

1 However, a lack of standardization
2 will ultimately lead to more provider burden as
3 well. So approaches should allow for options
4 from a menu set of more standardized approaches
5 that allow a level of flexibility, for example,
6 defining industry standard definitions for
7 episodes. Finally, ACOs engaging specialists
8 in shadow or nested bundles are often faced
9 with challenges regarding small numbers.

10 And sample size is critical for
11 accurate measurement. So performance data must
12 be based on a sufficient volume of cases, even
13 if we have to look across payers so that
14 spending estimates are statistically reliable.
15 ACOs are very interested in finding ways to
16 further engage specialists and total cost of
17 care models.

18 And providing more data, both
19 episode cost data and quality data, to ACOs
20 will help support this work, whether it's
21 supporting referrals to high-value specialists
22 or subcontracting financial arrangements like
23 gain sharing in an ACO. ACOs are not all the
24 same. And flexibility must be provided to
25 ensure ACOs are meeting their patient needs.

26 For example, a rural ACO may have

1 less referral options. So engaging specialists
2 may look different for that ACO in that
3 particular market or region. We look forward
4 to continuing to work with the Innovation
5 Center, CMS, and ACOs on this issue to find
6 ways to meaningfully engage specialists in
7 total cost of care models. And we thank PTAC
8 for their attention to this issue.

9 CO-CHAIR HARDIN: Thank you so much,
10 Jennifer. We appreciate your comments. Next
11 up we have Amita Rastogi, independent
12 consultant, industry expert in value-based
13 payment. Amita, please go ahead.

14 DR. RASTOGI: I lost volume. Can
15 you hear me?

16 CO-CHAIR HARDIN: We can hear you.
17 Please go ahead.

18 DR. RASTOGI: Oh, good. Okay. I'm
19 Dr. Amita Rastogi. I'm currently an
20 independent consultant in value-based care. I
21 was the chief architect of PROMETHEUS⁵⁴ Payment
22 in the episodes of care space.

23 As a cardiothoracic surgeon trained
24 at the Mayo Clinic and with a Master's in

54 Provider Payment Reform for Outcomes, Margins, Evidence, Transparency, Hassle Reduction, Excellence, Understandability, and Sustainability

1 Health Administration degree from the Martin
2 School of Public Policy and with a Master's in
3 Biostatistics and Epidemiology from the
4 University of Chicago, I bring clinical,
5 business, and analytical skills to the table.
6 Moving from volume to value requires a simple
7 mind shift. For providers such as myself, we
8 have to think, is this procedure appropriate
9 for the patient?

10 Is this service really required? Is
11 this referral truly necessary? Will it improve
12 outcomes for my patient? By making specialists
13 accountable for total cost of care
14 arrangements, they become part of the value-
15 based movement.

16 Building on the CMS programs such as
17 for kidney care, the nephrologists are made
18 accountable for the total cost of care for
19 kidney patients. We have already seen this
20 mind shift happening with the specialists in
21 the real world, such as in my husband's 11
22 nephrology group practice, which is adopting
23 the CKCC⁵⁵ model. Nephrologists are watching
24 every lab test being done, every procedure that
25 is being ordered.

55 Comprehensive Kidney Care Contracting

1 Similarly, we can make
2 cardiologists, orthopedic surgeons, GI
3 physicians, and other specialists as a
4 quarterback for patients with complex needs in
5 a total cost of care arrangement with primary
6 care physicians still being part of the team.
7 Using a nested design, every inappropriate
8 procedure or referral they avoid would go
9 towards their shared savings, much like Dr.
10 Kevin Bozic highlighted earlier today. For
11 example, cardiologists could team up with
12 cardiac surgeons in total cost of care
13 arrangements for a cardiology cluster.

14 As is happening in the nephrology
15 world, kidney transplant surgeons are reaching
16 out to nephrologists to be part of the kidney
17 care team. This is happening, and I'm seeing
18 it in real time. So the tables are turning,
19 and the true value-based movement has begun.

20 Organically grown systems and
21 accountable arrangements avoid biases and the
22 system from being too prescriptive. It is the
23 willingness of providers to change their
24 mindset that will truly drive the shift to
25 value, and it is happening. And once they take
26 accountability for whole person care being

1 responsible both for clinical as well as
2 financial outcomes, we see true value-based
3 movements come in. Thank you.

4 CO-CHAIR HARDIN: Thank you so much,
5 Dr. Rastogi. We really appreciate your
6 comments. Amy, are there any other public
7 commenters that have signed up? All right.

8 * **Committee Discussion**

9 Hearing none, that is the end of
10 public comments. Now the Committee members and
11 I are going to discuss what we've learned
12 yesterday and today from our guest presenters,
13 the roundtable discussion, the background
14 materials, and the discussions. PTAC will
15 submit a report to the Secretary of HHS that
16 includes our findings from this public meeting,
17 in addition to what we want to highlight from
18 yesterday and today.

19 Similar to yesterday, we will start
20 with time to reflect more generally before
21 staff continue with the slides identifying
22 potential comments. Members, you have a
23 document on potential topics for deliberation
24 tucked into your binder to help guide the
25 conversation. To indicate that you have a
26 comment or question, please flip your name

1 tent. I know we have a lot of great insights
2 from the last two days. It's been really rich
3 discussion. So who would like to begin? Jen?

4 DR. WILER: Well, yesterday, I had
5 10 comments. Today, I have five in no specific
6 order. The first thing that we heard about a
7 few times is that specialists, quote, just want
8 better care coordination as a desired outcome.

9 But when I heard those comments in
10 the context of that conversation, it made me
11 wonder, were they really saying they wanted to
12 stay in fee-for-service with no risk? I think
13 our discussion over the last two days has
14 highlighted why the incentives are not aligned
15 in the current system to make specialists want
16 to participate. And I hope that some of the
17 things that we were able to highlight today
18 have demonstrated what those incentives need to
19 be or could be even if they're not financial.

20 Number two, what I heard was that we
21 probably are using the wrong language and
22 rubric. When we say primary care and
23 specialists, we are disadvantaging both groups.
24 Not all primary care services are the same, and
25 not all specialist services are the same.

26 And what I heard was -- and not to

1 be too simplistic. But what I heard was that
2 maybe a disease-based care model might be the
3 right approach. I do think our PCDT⁵⁶ team
4 framework that we recommended in the beginning
5 as a straw person got it right and that looking
6 at both cost and utilization factors are
7 potentially a way to start thinking about how
8 do I identify these disease-based care models
9 where there's potentially avoidable cost in the
10 system.

11 Now one caveat to that is that
12 potentially avoidable cost is based on a fee-
13 for-service chassis. So there may already be
14 inequities within the system. But that is the
15 simplest way for us to start thinking about it.

16 The next thing I heard is that PROs⁵⁷
17 can be a really important component. I think
18 we all know that. But it's around how do we
19 actually implement those -- how to identify
20 those outcomes that are important and then
21 include them as one of those incentives that I
22 talked about in my first comment because those
23 are actually a really strong driver.

24 And although collecting the
25 information is not free, the opinions of our

56 Preliminary Comments Development Team

57 Patient-reported outcomes

1 patients is really important, and it's free.
2 Number four, I heard -- what I liked in Dr.
3 Zerzan-Thul's presentation about what
4 Washington state has done, it really summarized
5 what I think we heard throughout the two days,
6 and that's practice transformation can happen.
7 We have lots of examples of that in various
8 sectors.

9 But it's expensive, and it requires
10 up front funding, a prospective payment. And I
11 like -- I think there's some things there that
12 she described around doing practice assessments
13 and identifying readiness. And then thinking
14 about a model to help nudge groups to move to
15 the next level.

16 But what I thought you said that was
17 really important is that there's also an
18 expectation. So it doesn't sit in pilot phase
19 forever. It then pivots, and that's explicit
20 from the beginning about what that expectation
21 is.

22 But I think there's really something
23 interesting there about creating practice
24 transformation with prospective payments. But
25 what I'll note is that I think that requires
26 interagency collaboration to fund. And

1 although that may be challenging from a policy
2 or regulatory perspective, I think if our
3 country wants to move forward into true value-
4 based care, we have to do that.

5 And then last, I'd comment that in
6 the most recent presentation about the
7 musculoskeletal model, what I thought was
8 interesting was that with the right incentives
9 with various collaborators, there is healthy
10 competition about who should be the
11 attributable owner of a patient. And no pun
12 intended. I think that healthy tension and
13 competition is a good one for Medicare
14 beneficiaries and for patients. So I think
15 models like that that make care teams want to
16 be responsible for care for a patient
17 regardless of what the subspecialty training is
18 of those groups, those are the right kind of
19 care models that we should be thinking about
20 and then trying to create financial incentives
21 for them. Thank you.

22 CO-CHAIR HARDIN: Excellent. Thank
23 you so much, Jen. Who would like to go next?

24 CO-CHAIR SINOPOLI: I don't think
25 there's much to add to that to be quite honest.
26 But just wanted to emphasize that we heard --

1 in addition to what we heard yesterday, we
2 continue to hear today how clinical practice is
3 now continuous and that we shouldn't think of
4 it in buckets or silos but rather a disease
5 process across an entire continuum. And
6 therefore, that dictates what our payment
7 policies need to be, what our clinical models
8 need to be, what our team structures need to be
9 and clearly help in getting the system teams
10 that are capable of working across multiple
11 specialties, including primary care in general
12 and specialty care. And also we heard again
13 today how lacking -- unless you're part of a
14 big system, how lacking crucial data is in
15 terms of truly being able to manage patients
16 across multiple specialties.

17 CO-CHAIR HARDIN: Excellent. Thank
18 you, Angelo. Josh?

19 DR. LIAO: Yeah, I agree. I think
20 many -- I agree with many of the comments that
21 have been said. I think my overarching
22 reaction is that assuming adequacy of
23 workforce, which is an assumption, is not
24 always true in some areas.

25 But assuming that, one of the key
26 takeaways from me is that I think a lot of this

1 begins with capacity assessment. And that
2 really sunk with me from today. I think a lot
3 of the things we heard these last two days
4 about what works and what doesn't work can be
5 tied pretty directly back to that,
6 expectations, loss of communication, what the
7 goals are for the clinicians and the patients
8 involved.

9 And so I really like that idea of
10 making that assessment. In one session, we
11 heard about what's advanced primary care, maybe
12 more intermediate. But I think it can be
13 applied more broadly.

14 What I liked about that example too,
15 there were separate but complementary
16 assessments for primary care and in that case
17 behavioral health practices. But you can
18 imagine analogs for other subspecialty
19 practices as well. And I think that -- that
20 also, I think the reason that's so important to
21 me is that then it emphasizes the other things
22 you heard over the last two days, which is that
23 if you want to integrate it, it might be
24 through acute episodes or procedures or
25 conditions, one condition, multiple conditions.

26 And so it's not all or nothing. As

1 I mentioned yesterday, I think it very much is
2 there are many choices there. And I don't know
3 a better way to assess those choices unless we
4 understand those groups or those clinicians
5 that we want to integrate into these models and
6 engage what is our capacity for integration.
7 And so I think that's very important.

8 I also agree with Angelo. I think
9 care is increasingly continuous. And yet under
10 the aegis of payment models, accountable
11 entities are pretty discrete, right?

12 And so that, again, underscores the
13 point about capacity. So even if we think
14 about this continuum of care, one large group,
15 a collection of smaller groups, and these
16 clinicians, and those clinicians are going to
17 take accountability and integrate with that
18 other group. And that discreteness to me again
19 underscores it's really important to understand
20 screening, referral, QI⁵⁸, ongoing care
21 management, workforce, physician, non-
22 physician. Those things, I think, are really
23 integral.

24 And finally, I'll say when I think
25 about how that can be applied to payment

58 Quality improvement

1 models, I can imagine at least two potential
2 approaches. One would be that in that
3 assessment, we identify when and where certain
4 payment models and certain incentives, many of
5 which have talked about at this meeting, would
6 be more than likely to work and create less
7 inappropriate abrasion on clinicians and
8 practices. On the other hand, we can then
9 better learn from models that are ongoing now
10 or that have completed about why are we hearing
11 in the field these things aren't working. And
12 so I think that capacity assessment gives us a
13 new lens into that.

14 CO-CHAIR HARDIN: Excellent. Larry?

15 DR. KOSINSKI: Well, I have several.
16 But they may be -- some of them may be
17 replicative of what we've just heard. But I
18 came up with the number one conclusion that as
19 we have learned before, risk should be borne at
20 the level of the entity, and incentives need to
21 be deployed at the level of the provider. But
22 this implies on the basis of what we've heard
23 that all providers need to be either employed
24 or tightly contracted by a risk-bearing entity.
25 Our focus should be on patients and their
26 diseases, rather than providers and their

1 chosen field of practice.

2 Providers may be better categorized,
3 therefore not by their specialty but by their
4 main function within their specialty, screening
5 acute care, chronic longitudinal care. And
6 then we can build complex patient attribution
7 models to be deployed based upon the patient
8 needs and the provider function and how those
9 two can be brought together. Finally, payment
10 models would then need to be deployed based
11 upon this function, bundles for acute care,
12 payments for chronic longitudinal care
13 regardless of the provider specialty but
14 totally consistent with who's bearing the
15 responsibility for the care.

16 CO-CHAIR HARDIN: Thank you, Larry.
17 Jim?

18 DR. WALTON: Thank you. I'm just
19 going to try to add what's not been said.
20 After the capacity assessment, it seems that
21 what we heard was capacity building is somewhat
22 organic at the medical neighborhood level and
23 that one of the strategic opportunities that
24 sits in front of the country is to -- kind of
25 like what Walter was alluding to yesterday
26 afternoon was keep it simple and then a little

1 bit get out of the way, right?

2 And then I think we just heard from
3 NAACOS that moving the funds to the accountable
4 entity but having some requirement that the
5 accountable entity enabled the development of
6 capacity building within entities that really
7 wanted to create the medical neighborhood. So
8 there's some prescriptive opportunities. We
9 need the medical neighborhood for the
10 accountability that digitized and connected in
11 telehealth and all the things that can work.

12 But let the money be front-ended so
13 that capacity building is done differently in
14 Houston than in Central Texas than Dallas or in
15 Minnesota. And so the other thing I wanted to
16 say is I think that when we talk about value
17 providers, whether that's a specialist today,
18 some primary care doctors that are in value-
19 based work for the last four or five years,
20 nursing homes, or skilled nursing facilities.
21 I think it's incumbent upon us to recognize
22 it's kind of like a time zero for them, that
23 integration really hasn't happened in value-
24 based work, hasn't started.

25 And if we go back and think about
26 what it was like when primary care doctors

1 first started to think about value-based work
2 when ACOs first came about, they were at time
3 zero. And so I've watched quality metrics
4 inside the ACO -- primary care ACO
5 organizations improved significantly.
6 Certainly not at goal yet but getting better.

7 And so I know that if we were
8 measuring primary care doctors at time zero and
9 saying they're not value, so we're not going to
10 give them a contract, that would've been a huge
11 mistake. Further, that just erodes the sense
12 of professional dynamism that's inside medicine
13 that will kind of create the competitive
14 juices, the competitive forces I think that
15 Josh was bringing about that will cause
16 improvement in quality and cost control. If we
17 just assume that we're still pretty early in
18 the game and that lots of specialties in lots
19 of organizations along the continuum of care
20 haven't really engaged this yet.

21 So we really don't know how valuable
22 they're going to actually be until we actually
23 bring them into a neighborhood that's connected
24 to primary care doctors who have attributed
25 patients who've got to get the job done and
26 create equity and reduce disparity. So I'm

1 hopeful that with CMMI's goal that we can
2 continue to advise, to take everybody at a time
3 zero, and build capacity. But front-load the
4 funds so that that capacity can be developed
5 and try to stay out of the way, not create more
6 complexity. So we've got to sort through that.

7 CO-CHAIR HARDIN: Thank you, Jim.
8 Audrey?

9 MS. McDOWELL: So just wanted to ask
10 you all given that in this meeting we've been
11 talking about having payment for people that
12 are providing chronic disease management
13 separate from payment for people who are doing
14 procedures or acute episodes. Are there any
15 concerns about the potential for
16 underutilization stinting on care, issues
17 around disparities for certain patients? If
18 specialists are getting kind of this payment
19 not based on fee-for-service and actually
20 seeing the patients, does the Committee have
21 concerns about that? And are there performance
22 metrics or other opportunities to guard against
23 that?

24 DR. WALTON: I'll jump in on that.
25 I thought that one of the comments, and I think
26 Jen brought it up, which is this idea that was

1 introduced today around this self-reported
2 health status starts to address that concern,
3 right, that the consumer ultimately or the
4 collection of consumers within an ACO in the
5 aggregate could actually start to express
6 whether or not in fact there was an unintended
7 consequence of rationing, which then leads to
8 poor health and greater disparities. I also
9 thought that -- and we see this in REACH, which
10 is that there's this equity improvement plan
11 requirement. So there's not only -- and inside
12 that plan, there's this idea that you have to
13 pick a goal and actually demonstrate movement
14 of that goal.

15 Being accountable for moving it
16 seems to be a way to kind of build in -- or it
17 was already built in to some of the ideas to
18 kind of help protect against I would call the
19 rationing approach of reducing care delivery
20 for the sake of cost control. So I think in
21 both of those, having an equity plan, an
22 execution, and strong equity reports
23 requirements and let the market solve for that,
24 I think is going to protect the consumer. But
25 more valuably than that would be the consumer
26 themselves talking about in particular

1 conditions whether or not they are actually
2 relieved of their pain, their functional status
3 is better, and they're satisfied with the care
4 they received.

5 DR. LIAO: Yeah, maybe I'll just add
6 to that too. I mean, I think -- how do I say
7 this? I think one of the concerns I would have
8 is that we take history and carry it forward as
9 the rule, like, an example from history and
10 carry it forward.

11 So I don't think many if any of us
12 would argue that bundle payments by volume and
13 experience has really been an orthopedic
14 procedure experiment in a formal way. And yet
15 there are many different types of -- what's
16 another word since bundles is not a good one?
17 But the other bundle-like things that can
18 happen.

19 I think episode-based cost measures,
20 a lot of the things that we're seeing are
21 moving us away from that. And I would really
22 encourage us to think outside that in a bigger
23 frame. And partly because I worry about
24 potential under-provision, and I'll come back
25 to that.

26 And what I mean is that there's was

1 comment today made that epidemiologically
2 you're not worried so much about the efficiency
3 of the episode. You're worried about whether a
4 person gets a procedure. And I would admit for
5 something that's relatively preference-
6 sensitive and high-volume and common like joint
7 replacement, that's arguable.

8 There are many other episodes like
9 acute exacerbations of medical disease where
10 that's not actually true I would say. It's a
11 different epidemiological process. And so I
12 just want to make sure we shake free of
13 history, which teaches us a lot.

14 But we can rethink episodes and
15 conditions and bundles in a different way. And
16 I think it's particularly important because of
17 what you said, which is that I think implicit,
18 this idea of cost efficiency is an implicit
19 assumption that in many cases less is better.
20 And I think given the huge inequities in this
21 country, I think that's not always true.

22 And I think sometimes what's right and what's
23 equitable needs to be more. And so I would
24 hope that we take that wider aperture when we
25 think about these topics. And just to take
26 that analogy that I mentioned in joint

1 replacement, we know dating back unfortunately
2 many decades that receipt of joint replacement,
3 where they go after the surgery, the types of
4 post-acute care, are highly disparate by income
5 and by race. So how do we deal with that? I
6 certainly don't have the answers, but I think
7 the idea that we would just say let's just nest
8 and design and risk-adjust and be more cost-
9 efficient.

10 I don't want that piece to be lost.
11 So Audrey, I really appreciate you bringing
12 that up because I do think sometimes we
13 probably want more of coordinated and high-
14 quality care. And I hope our models can
15 reflect that.

16 CO-CHAIR HARDIN: Larry?

17 DR. KOSINSKI: I just wanted to
18 address what Audrey just asked. What I heard
19 from McClellan, de Brantes, and Jones today was
20 not a standard PMPM payment but a PMPM payment
21 for the cognitive risk-based responsibility
22 taking components of medical care but then a
23 markedly discounted payment for those
24 procedures that have to be performed. Audrey,
25 I'm going to flip what you just asked us with a
26 different type of example.

1 And I'll go back to the
2 gastroenterology space again. You have
3 gastroenterologists today that are in their
4 ASCs doing colonoscopies on inflammatory bowel
5 disease patients and having the cognitive work
6 being done by APP in the office, whereas when
7 you really think about it in a repetitive
8 procedure like a scope, that probably could be
9 performed by an APP. But the person who went
10 to school, they were 32 years old to master the
11 knowledge of how to take care of that ill
12 patient, should be the one making the decision.

13 So I think taking some of the money
14 and appropriating it for the cognitive services
15 of specialists that have been actually
16 undercompensated. And to pay that, you take
17 money from the procedural services. Somewhere
18 there may be a sweet spot there so that we
19 encourage the cognitive services from our
20 specialists but at the same time make sure that
21 the procedure is still being done. But the
22 procedures don't become the main source of
23 revenue for the specialist.

24 CO-CHAIR HARDIN: And I'll just add
25 a couple things. I think that's a really
26 important question, Audrey. One of the things

1 I heard and we've heard in other sessions as
2 well is the move towards disaggregating data by
3 race, ethnicity, and other components and
4 utilizing that as a quality metric. That's
5 critical in looking at outcomes and really
6 understanding.

7 And then I heard a whole theme in
8 this session about the emergence of technology
9 in different ways that I think bridged
10 disparities. So the ability to do e-consults,
11 telehealth, wearables in the home, things that
12 address some of the barriers related to
13 transportation, hours of availability to get to
14 offices, access issues that make things more
15 possible. And then an underwriting theme that
16 I heard about success in any of these payment
17 models is the need for longitudinal
18 relationship and trust and also the integration
19 of interprofessional teams, other disciplines,
20 and trusted providers which may be a community
21 health worker, a nurse, a social worker who's
22 following longitudinally over time.

23 I think those types of models have a
24 lot of promise for addressing the barriers of
25 trust and access. And some of the data
26 discussions around proactively seeking

1 populations who are not accessing care I think
2 has promise for being standard and how we're
3 looking not only at high utilization but no
4 utilization as just as important of an
5 indicator. And then the themes that also came
6 out is the importance of anticipatory symptom
7 management, anticipatory disease management,
8 and proactively addressing social determinants
9 of health and health-related social needs and
10 populations.

11 Those things will start to drive
12 care reaching out to clients rather than
13 waiting for clients or patients to crash and go
14 into crisis before care is delivered. I think
15 all of those things are really critical for
16 underserved populations. Any other comments?

17 MS. McDOWELL: So again, just in
18 terms of process measures, for example, if a
19 patient is attributed to a certain provider or
20 certain care team and they have a certain
21 condition and the care team has not had any
22 visits with that patient, say, within a
23 reasonable amount of time, is it possible to
24 build those things in relating to
25 accountability?

26 CO-CHAIR HARDIN: I think that's

1 critical. And having worked in hospice care,
2 which kind of is a total cost of care model,
3 you're completely driven by preventing crisis.
4 So the team interaction when the client is
5 based on proactively reaching out, proactively
6 visiting, proactively engaging because the
7 payment model is such that you want to prevent
8 crisis and manage it responsibly. So it
9 changes your interaction. Walter?

10 DR. LIN: So it's been a really
11 productive two days. I want to thank the PCDT
12 team again for lining up some great speakers
13 along with the PTAC and NORC staff. I won't
14 repeat a lot of the comments that have been
15 already stated which I largely agree with.

16 A couple additional thoughts,
17 though. I think one of the things that stuck
18 out to me from our two days is the current risk
19 models that the current pilots don't really do
20 a good job of somehow taking into account
21 preference-sensitive care. So they don't
22 reward providers for preventing something that
23 is going to require more care down the line,
24 care that doesn't happen, right?

25 So I always got to go back to kind
26 of chronic kidney disease and the nephrologist

1 who prevents Stage 3 chronic kidney disease
2 from progressing to dialysis doesn't get reward
3 for that, right? The many years of payment
4 that CMS would've had to pay for the dialysis
5 care is not seen. There's no value to that
6 nephrologist besides maybe some fee-for-service
7 (inaudible) payments for the visits.

8 And in fact, that nephrologist is
9 hurting him or herself financially by not
10 performing all those dialysis treatments,
11 right? So how do we design payment systems
12 that can somehow reward the appropriate
13 preference sensitivity of intensity? It's an
14 open question.

15 I think one of the models that we've
16 heard kind of describe the most detail is the
17 condition-based payment model as articulated by
18 Drs. McClellan, Bozic, and Francois de Brantes.
19 I guess to that some extent, right? But
20 essentially, I think the condition-based
21 payment model, the Musculoskeletal Institute as
22 an example as a subcapitated payment stream to
23 a specialist for chronic longitudinal care.

24 And all of the care that specialist
25 provides comes out of that payment, right? So
26 the way I think about it is it's not so much

1 condition-based payments but rather specialist-
2 based payments because -- and again, we hear
3 this from Dr. Bozic. I should've asked.

4 But let's say someone is in the
5 Musculoskeletal Institute. You can subcap for
6 it, and an orthopedist doesn't get paid more
7 for not performing -- sorry, for performing
8 elected joint replacement. But what if that
9 same patient falls and has a fracture, right?

10 Does that subcap payment cover that
11 as well? I mean, there are all these other
12 kind of details that I wish I had more time to
13 dive into. Maybe those payments are more acute
14 episode-based and the bundle payments.

15 But I don't know that -- this is a
16 payment innovation for sure. But I don't know
17 that needs to happen at the national level. I
18 think just hearing Nichola's comment just now
19 makes me think again that we should let these
20 payment innovations occur more at the local
21 level.

22 And then ACOs, managed care
23 organizations can contract with organizations
24 like the Musculoskeletal Institute. But at the
25 national level, I don't know that we need to
26 dictate that kind of care. Maybe we can have

1 some pilot models to show its effectiveness.
2 But I think if we just let the risk-bearing
3 entity figure things out with the appropriate
4 counterbalances and protective measures, we
5 should just let them do that. You know what I
6 mean?

7 I don't know that we can necessarily
8 design a payment scheme that will help the
9 frontline provider decide which are the high-
10 value specialists in their locality. But I'm
11 sure that primary care provider probably knows.
12 And so just give them the appropriate risk and
13 reward framework and let them make that
14 decision.

15 So I was only kind of, like, half in
16 jest when I was suggesting. We already kind of
17 have the basic framework we need. We just need
18 to kind of maybe tweak it a bit and then get
19 out of the way and let the frontline providers
20 figure out how best to manage that risk.

21 CO-CHAIR HARDIN: Thank you, Walter.
22 Lindsay, did you want to add anything? No?
23 Okay. So we have covered a lot of ground
24 today. If we went around the room and went
25 around the table and said, what one thing did
26 we not bring up, one theme or one thought that

1 wasn't covered that you would want to add? And
2 Josh, would you start?

3 DR. LIAO: Well, I think I only
4 brought up one today. So maybe I'll just
5 parrot myself and say I think the capability is
6 really important. I didn't directly call it
7 out, but Audrey's comments made me realize too
8 that I think Jim mentioned time zero, I think
9 at least for primary care since the concept of
10 medical home came about in the late '60s.

11 I think that clock started at some
12 point decades ago. So I think maybe the one
13 thing I would highlight, I mentioned this
14 yesterday, is I don't think it's a cool app or
15 just a change in the way we do a form and then
16 we can all go on our merry way. I think if we
17 really want to integrate, it's going to require
18 change in how we deliver care and operate
19 organizations.

20 And I think everybody has a role in
21 that. But I think particularly potentially
22 specialties or parts of care that are closer to
23 time zero, I think, I hope that's part of it.
24 I hope that we don't just think about
25 incentives and think about communication and
26 think about technology.

1 We think about, how do we bring them
2 along in culture? How do we bring them along
3 in capability to do that? Because I don't
4 think it's even across the health care
5 community.

6 CO-CHAIR HARDIN: Thank you, Josh.
7 Jen?

8 DR. WILER: I think it was said, but
9 I'm going to amplify it. Data, data, data. We
10 cannot move this conversation forward in a
11 meaningful way and improve health outcomes for
12 patients unless we have ubiquitous -- that
13 doesn't mean a lot -- but important,
14 actionable, transparent data to allow entities
15 to become risk-bearing and for risk-bearing
16 entities to deliver high-quality care.

17 And that kind of infrastructure is
18 expensive. And again, I think we need to
19 encourage building off an infrastructure that
20 we heard at our last meeting that's starting to
21 exist maybe through a RHIO⁵⁹ structure, maybe in
22 partnership with our predominant EHR vendors.
23 But that is a large hurdle, and it's very
24 potentially expensive. And those would be
25 dollars that are well spent to do practice

59 Regional Health Information Organization

1 transformation.

2 CO-CHAIR HARDIN: Thank you, Jen.
3 Angelo?

4 CO-CHAIR SINOPOLI: So I was going
5 to talk about data. But I'll go back and kind
6 of reemphasize Josh's comment. So I think
7 education and training, that's what I see
8 missing in a lot of the practices. When I say
9 that, the basics of how risk works and what a
10 health plan does to understand RAF⁶⁰ coding,
11 HCCs⁶¹, all those things that frontline primary
12 care practices often have little idea about but
13 are so critical to their success. And there's
14 really not a good resource for educating them
15 and then able to learn quickly.

16 CO-CHAIR HARDIN: And Walter?

17 DR. LIN: So I guess I would just
18 reemphasize my other comment about how to
19 somehow reward specialists for preference-
20 sensitive care that doesn't happen
21 appropriately so.

22 CO-CHAIR HARDIN: And Lindsay?

23 DR. BOTSFORD: Yeah, I think a
24 closing reflection would be around when we
25 think about high-value care and how we've

60 Risk adjustment factor

61 Hierarchical Condition Categories

1 rewarded. It's maybe not just in payment. But
2 I think the other piece we need to figure out
3 is how do we measure and value the
4 communication and collaboration that go into
5 that high-value care.

6 And who and where should that care
7 coordination happen, practice level, plan
8 level? And I think the arguments were in favor
9 of practice level, funded at the practice
10 level. But that definition for what good looks
11 like maybe is a gap to being able to evaluate.

12 CO-CHAIR HARDIN: Thank you,
13 Lindsay. Jim?

14 DR. WALTON: My mind tends to think
15 toward, as you already know, probably migration
16 from the triple aim to the quadruple aim. And
17 one of the things that we really maybe want to
18 spend some time thinking about is -- as we kind
19 of iterate is, is what we're suggesting going
20 to actually improve the experience of being in
21 the field as a profession as opposed to --
22 because what's not measured, an unmeasured
23 event that's happening is what happens when
24 physicians are burned out? What are the
25 sequelae in the way care is delivered?

26 And then kind of what am I going to

1 do next week? Or how am I going to adopt the
2 next model? Or what technology I will or will
3 not say yes to? How will I code? How will I
4 document? How will I share, not share is all
5 driven by this kind of palpable burned out,
6 discouraged, disappointed, somewhat almost
7 defeated workforce.

8 And that includes our nurses and
9 APNs and doctors as well. So some of what we
10 need to think through is just making sure we
11 have that filter at the end kind of as we push
12 everything through. It's, like, will this make
13 it a better experience or not, and what may we
14 need to add to do that, so adopting the
15 quadruple aim.

16 CO-CHAIR HARDIN: Larry, I'm going
17 to let you take us home. So I'm going to say
18 mine and then let you close because I know
19 you're really --

20 DR. KOSINSKI: You go ahead and do
21 that.

22 CO-CHAIR HARDIN: So I'm just going
23 to build on what Jim said. I think one of the
24 most striking things, data points, today was
25 around Kevin Bozic where he said in five years,
26 they only had one person turnover from their

1 team. And that's what I see nationally.

2 These integrated teams, integrated
3 models, interprofessional teams, different
4 disciplines practicing to the top of their
5 license. And really creatively looking at
6 design and efficiency of how do we come
7 together to meet the needs of the client
8 holistically and in a way that makes sense I
9 think is critical. When we look at workforce
10 shortages, we may have more workforce than we
11 imagine by the creativity of how we deliver
12 what we do. So I think that's really key and
13 an opportunity in total cost of care models.
14 Larry, it's up to you.

15 DR. KOSINSKI: I think my parting
16 words are let's bring down the silos. It's
17 time to break down the data silos, the provider
18 silos, the contracting silos. We had a lot of
19 knowledge shared with us over the last couple
20 days.

21 But I go back to Dr. Jones. He
22 said, I get daily claims. Look what you can
23 accomplish when you got data, when the silo has
24 broken down. And I think that's my parting
25 thought is we've got to figure out how to break
26 down the silos.

1 * **Closing Remarks**

2 CO-CHAIR HARDIN: Thank you, Larry.
3 So I think are there any other comments before
4 we close from any of the members?

5 Then I want to thank everyone for
6 your very active and important participation
7 today, our expert presenters and panelists, my
8 PTAC colleagues, and all those listening in and
9 actively participating. We explored many
10 different facets of improving care delivery,
11 strengthening primary care, and integrating
12 specialty care within population-based models.
13 Special thanks to my colleagues on PTAC.

14 This was a lot of information to
15 take in, in these two days. And I appreciate
16 your very active participation, thoughtfulness,
17 and deep reflections about learnings from these
18 two days. We will continue to gather
19 information on our theme through our Request
20 for Input on our topic.

21 We're posting it on the ASPE PTAC
22 website and sending it out through the PTAC
23 listserv. You can offer your input on our
24 questions by April 7. And we're very
25 interested in your input.

26 * **Adjourn**

1 The Committee will prepare a report
2 to the Secretary with our findings and
3 recommendations from this public meeting. And
4 with that, the meeting is adjourned. Thank
5 you.

6 (Whereupon, the above-entitled
7 matter went off the record at 2:06 p.m.)

C E R T I F I C A T E

This is to certify that the foregoing transcript

In the matter of: Public Meeting

Before: PTAC

Date: 03-03-23

Place: Washington, DC

was duly recorded and accurately transcribed under
my direction; further, that said transcript is a
true and accurate record of the proceedings.



Court Reporter

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1716 14TH ST., N.W., STE. 200

WASHINGTON, D.C. 20009-7831