

PHYSICIAN-FOCUSED PAYMENT MODEL TECHNICAL
ADVISORY COMMITTEE (PTAC)

+ + + + +

PUBLIC MEETING

+ + + + +

The Great Hall
The Hubert H. Humphrey Building
200 Independence Avenue, S.W.
Washington, D.C. 20201

+ + + + +

Monday, March 25, 2024

PTAC MEMBERS PRESENT

LAURAN HARDIN, MSN, FAAN, Co-Chair
ANGELO SINOPOLI, MD, Co-Chair
LINDSAY K. BOTSFORD, MD, MBA
LAWRENCE R. KOSINSKI, MD, MBA
WALTER LIN, MD, MBA
TERRY L. MILLS, JR., MD, MMM
JAMES WALTON, DO, MBA
JENNIFER L. WILER, MD, MBA

PTAC MEMBERS IN PARTIAL ATTENDANCE

JOSHUA M. LIAO, MD, MSc*

PTAC MEMBERS NOT PRESENT

JAY S. FELDSTEIN, DO
SOIJANYA R. PULLURU, MD

STAFF PRESENT

AUDREY McDOWELL, Acting Designated Federal
Officer (DFO), Office of the Assistant
Secretary for Planning and Evaluation
(ASPE)

LISA SHATS*
STEVEN SHEINGOLD, PhD, ASPE

* Present via Zoom

A-G-E-N-D-A

Opening Remarks.....	3
Elizabeth (Liz) Fowler, JD, PhD, Deputy Administrator, Centers for Medicare & Medicaid Services (CMS) and Director, Center for Medicare and Medicaid Innovation (CMMI) Remarks.....	4
Susannah Bernheim, MD, Chief Quality Officer and Acting Chief Medical Officer, CMS CMMI Remarks.....	7
Welcome and Co-Chair Update - Developing and Implementing Performance Measures for Population-Based Total Cost of Care (PB-TCOC) Models Day 1.....	10
PTAC Member Introductions.....	13
PCDT Presentation - Developing and Implementing Performance Measures for PB-TCOC Models.....	19
Panel Discussion: Developing Objectives for Performance Measurement for PB-TCOC Models.....	45
- Cheryl L. Damberg, PhD, MPH; Helen Burstin, MD, MPH; John B. Bulger, DO, MBA; and Eric C. Schneider, MD, MSc	
Listening Session 1: What Do We Want to Measure in PB-TCOC Models, and How?.....	127
- Thomas Sequist, MD, MPH; David Meltzer, PhD, MD; and Franklin Gaylis, MD, FACS	
Listening Session 2: Issues Related to Selecting and Designing Measures for PB-TCOC Models.....	205
- Krishna G. Ramachandran, MBA, MS; Dana Gelb Safran, ScD; Vivek Garg, MD, MBA; and Sai Ma, PhD, MPA	
Committee Discussion.....	272
Closing Remarks.....	294
Adjourn.....	294

P-R-O-C-E-E-D-I-N-G-S

9:30 a.m.

1
2
3 * CO-CHAIR SINOPOLI: Good morning, and
4 welcome to the March 2024 meeting of the
5 Physician-Focused Payment Model Technical
6 Advisory Committee known as PTAC. My name is
7 Angelo Sinopoli, and I'm one of the Co-Chairs of
8 PTAC along with Lauran Hardin, who is sitting
9 next to me here.

10 Since 2020, PTAC has been exploring
11 themes that have emerged from publicly submitted
12 proposals over the years. After each theme-based
13 meeting series, the Committee releases a public
14 report to the Secretary of Health and Human
15 Services with its findings and recommendations.

16 We recently posted the June 2023
17 report to the Secretary on Improving Management
18 Of Care Transitions In Population-Based Total
19 Cost of Care Models to the PTAC website. Soon we
20 will be sharing our September 2023 report to the
21 Secretary on Encouraging Rural Participation in
22 Population-Based Total Cost of Care Models.

23 As we learned throughout the previous
24 PTAC theme-based discussions and several
25 submitted proposals, providers face challenges
26 with implementing performance measures,

1 particularly for total cost of care models. We
2 know that this topic is also of interest to the
3 Innovation Center at CMS.

4 So before our first presentation of
5 the day, we're honored to have our opening
6 remarks from Dr. Liz Fowler, the Deputy
7 Administrator of CMS and the Director of the
8 Center for Medicare and Medicaid Innovation.

9 Dr. Fowler previously served as
10 Executive Vice President of Programs at the
11 Commonwealth Fund and Vice President for Global
12 Health Policy at Johnson & Johnson. She was
13 Special Assistant to President Obama on Health
14 Care and Economic Policy at the National
15 Economics Council.

16 From 2008 to 2010, she also served as
17 Chief Health Counsel to the Senate Finance
18 Committee Chair, where she played a critical role
19 in developing the Senate version of the
20 Affordable Care Act. Liz, welcome.

21 * **Elizabeth (Liz) Fowler, JD, PhD,**
22 **Deputy Administrator, Centers for**
23 **Medicare & Medicaid Services (CMS) and**
24 **Director, Center for Medicare and**
25 **Medicaid Innovation (CMMI) Remarks**

26 DR. FOWLER: Thank you, Dr. Sinopoli

1 and Ms. Hardin. It's great to be here today and
2 nice to begin this year's series of theme-based
3 discussions on performance measurements. Let me
4 also say, we were just talking a little earlier,
5 we're really glad that the House and Senate were
6 able to pass legislation to keep the doors of the
7 government open.

8 It was a little touch and go whether
9 CMMI would be able to join you this morning, so
10 I'm glad it worked out for us to be here.

11 I wanted to also thank, before I
12 begin, the ASPE staff who coordinate this meeting
13 for bringing together again yet another fantastic
14 set of panelists and topics. We're excited to
15 learn from all of you over the next two days.

16 As we start the 2024 theme-based
17 discussions, I wanted to just spend a moment
18 talking about the importance of PTAC and the
19 impact this Committee has had on CMS innovation
20 models.

21 As many in ASPE know, and perhaps some
22 of the PTAC members also know, prior PTAC
23 submissions have influenced the design of several
24 of our prior models on Primary Care First, which
25 was influenced by AAFP¹ and the University of

1 American Academy of Family Physicians

1 Chicago submissions; the Oncology Care Model and
2 its successor, the Enhancing Oncology Model, both
3 influenced by submissions from Hackensack
4 Meridian Health and COA² and ASCO³; and then our
5 Kidney Care Choices Model, which was heavily
6 influenced by the Renal Physician Associates.

7 The last couple of years, PTAC has
8 shifted to theme-based meetings, and I wanted to
9 highlight that this shift has been particularly
10 helpful for the Innovation Center. The health
11 care landscape is very different from when CMMI
12 and when PTAC were first established.

13 The challenges we face in moving to
14 value-based care are more complex; our models and
15 initiatives overlap in ways that they didn't when
16 CMMI first started launching models. And our
17 models take this into account and have tried to
18 evolve as a result, and so has the work of PTAC.

19 Maybe one example to note in
20 particular, as the Innovation Center has tackled
21 integration of specialty care and primary care,
22 PTAC's theme-based discussions have been
23 instrumental to our work. For example, in the
24 development of the Specialty Integration Strategy

2 Community Oncology Alliance

3 American Society of Clinical Oncology

1 and the policies in Making Care Primary.

2 Over the next two days, the Innovation
3 Center is really excited to hear from PTAC
4 members and the expert panel discussions to learn
5 more about performance measurement, where it is
6 today, where PTAC thinks performance measurement
7 can go by 2030, and particularly as it relates to
8 population-based total cost of care models.

9 I'm also excited for the panel
10 discussion with CMS' quality leadership, which
11 will happen tomorrow, so you can hear from other
12 leaders at CMS, not just the Innovation Center,
13 but other leaders in the Agency about where
14 performance or quality measurement is today and
15 where CMS and the Innovation Center is hoping to
16 go tomorrow.

17 But I wanted to introduce, in the time
18 I have here, transition to Dr. Susannah Bernheim
19 who is our acting Chief Medical Officer and our
20 Chief Quality Officer at the Innovation Center.
21 She's going to provide the rest of the opening
22 remarks and then is our lead on the panel
23 tomorrow. So, Susannah.

24 * **Susannah Bernheim, MD, Chief Quality**
25 **Officer and Acting Chief Medical**
26 **Officer, CMS CMMI Remarks**

1 DR. BERNHEIM: Thanks. I'm really
2 happy to be here with all of you today. As you
3 can imagine, I'm thrilled by the topic of the
4 panel today and tomorrow, and you've assembled
5 just an amazing group of folks to hear from. So
6 thank you for this work.

7 Performance measurement is a critical
8 tool, provides insight and incentives, but it
9 really needs to matter to patients, and it needs
10 to make sense to our clinicians for it to work,
11 and we need to continue to evolve our system.

12 We've been working a lot in the Agency
13 on alignment of measures, thinking about the
14 burden and the task, but we also need to continue
15 to look forward and think about how measurement
16 can be used as a tool to make care better.

17 So I want to just say a couple of
18 words now about the focus of Innovation Center on
19 quality, and then we'll talk more tomorrow in the
20 panel. We're really trying to center our new
21 strategy on the transformation of health care for
22 person-centered outcomes and experience.

23 And as you know, when the Innovation
24 Center was started, the Affordable Care Act gave
25 us two paths to expanding models. If they were
26 successful, one was if we reduce spending and

1 maintain quality. But the other was that if we
2 could show that we improved quality while
3 maintaining spending, that also creates a path
4 for expansion of models.

5 And so we're really early on focused
6 on spending reduction. But we're trying to
7 rebalance the emphasis, spending reduction will
8 continue to be important, but really committed to
9 broadening our definition of success and seeking
10 a path to improving quality in all of our models.

11 Again, I will talk about this a little
12 bit more, but the sneak preview, we're going to
13 talk in more detail at the CMS Quality Conference
14 which is in Baltimore from April 8th to 10th
15 about the new quality pathway, and we have a
16 publication coming out about that.

17 I will just say two words about what
18 that focus is: it's really around aligning all of
19 the pieces of our model around quality
20 improvement efforts. It's around making sure
21 that we're looking at outcomes and experience,
22 including those measures that come from the voice
23 of patients.

24 Doing that as our systems and
25 clinicians are capable or aware of the burden
26 that's associated with the evolution of measure

1 and trying to do that in a strategic way, but
2 knowing this is the direction that we need to go.

3 And finally thinking about how we
4 evaluate our models to be able to show when we've
5 made changes that are really important to
6 patients and clinicians. So more on that
7 tomorrow, but this is an incredibly timely
8 discussion for us, and I'm grateful to be here
9 and for your work.

10 CO-CHAIR SINOPOLI: Good. So thank
11 you for sharing those remarks, and we appreciate
12 your continued -- do you have something else to
13 say? No, okay. Continued your support. We
14 really enjoy it, and we've felt as PTAC, the
15 increased engagement from CMMI with your
16 leadership and just really enjoy the constant
17 conversations that we've had and the input that
18 you have given us, so appreciate that. Thank
19 you.

20 DR. BERNHEIM: The feeling is mutual.

21 * **Welcome and Co-Chair Update -**
22 **Developing and Implementing**
23 **Performance Measures for Population-**
24 **Based Total Cost of Care (PB-TCOC)**
25 **Models Day 1**

26 CO-CHAIR SINOPOLI: Thank you. All

1 right. So for today's agenda, we'll continue to
2 explore a range of topics, including the Defining
3 Performance Measurement Objectives for Total Cost
4 of Care, Selecting and Balancing between the
5 Number and Types of Performance Measures of Total
6 Cost of Care Models, Best Practices for Linking
7 Performance Measures with Payment and Financial
8 Incentives in Total Cost of Care, Addressing
9 Challenges Related to Implementing Performance
10 Measures, and Incorporating Health Equity in the
11 Patient Experience in Performance Measures.

12 The background materials for this
13 meeting include an environmental scan online.
14 For the next two days, you will hear from many
15 esteemed experts; we have great panels put
16 together.

17 We have worked diligently to include a
18 variety of perspectives throughout the two-day
19 meeting, including the viewpoint of previous PTAC
20 proposal submitters who addressed relevant issues
21 in their proposed models.

22 I want to mention that tomorrow
23 afternoon we will include a public comment
24 session; public comments will be limited to three
25 minutes each. If you would like to give an oral
26 public comment tomorrow, but have not yet

1 registered to do so, please email
2 ptacregistration@norc.org, again, that's
3 ptacregistration@norc.org.

4 The discussion materials and public
5 comments from the March PTAC public meetings will
6 be incorporated into a report to the Secretary of
7 Health and Human Services on How to Develop and
8 Implement Performance Measures for Total Cost of
9 Care Models.

10 The agenda for today and tomorrow
11 include time for the Committee to discuss and
12 shape our comments for the upcoming report.
13 Before we adjourn tomorrow, we will announce a
14 request for input, which is an opportunity for
15 stakeholders to provide written comments to the
16 Committee on developing and implementing
17 performance measures for total cost of care
18 models.

19 Lastly, I will note that as always,
20 the Committee is ready to receive proposals on
21 possible innovation approaches and solutions
22 related to care delivery, payment, or other
23 policy issues from the public on a rolling basis.

24 We offer two proposal submission
25 tracks for submitters, a line of flexibility
26 depending on the level of detail of their payment

1 methodology. You can find information about how
2 to submit a proposal on the PTAC website.

3 *** PTAC Member Introductions**

4 At this time I will invite my fellow
5 PTAC members to introduce themselves. Please
6 share your name and your organization. If you
7 would like, feel free to describe any experience
8 you have with our topic.

9 First, I will go around the table, and
10 then I will ask our members that are joining
11 remotely to introduce themselves. I'll start
12 with myself. I'm Angelo Sinopoli. I'm a pulmonary
13 critical care doc by training.

14 I have spent most of my career as a
15 chief clinical officer in large health systems
16 and building and driving large clinically
17 integrated networks. Built a enablement company
18 where the focus was care management but also
19 defining and building quality metrics and
20 performance metrics, implementing those across
21 the networks, and so I have some direct exposure
22 with this as many of us have.

23 I am presently the Executive Vice
24 President for Value-Based Care at Cone Health
25 System in North Carolina. Next, I will turn it
26 to you, Lauran.

1 CO-CHAIR HARDIN: Thank you, Angelo.
2 Good morning. I'm Lauran Hardin, I'm a nurse and
3 Chief Integration Officer for HC2 Strategies. I
4 spent the better part of the last 20 years
5 working on models, innovation, and design for
6 complex and underserved populations, and have
7 been part of starting the National Center for
8 Complex Health and Social Needs.

9 Currently work across the country with
10 different communities building connected
11 community of care approaches to underserved and
12 complex populations.

13 CO-CHAIR SINOPOLI: I think next we'll
14 go to Josh, who I think is on Zoom. Josh, are
15 you there?

16 DR. LIAO: Yes, can you hear me?

17 CO-CHAIR SINOPOLI: Yes.

18 DR. LIAO: Great. Good morning,
19 everyone. Joshua Liao, internal medicine
20 physician and professor at the University of
21 Texas at Southwestern Medical Center, where I
22 also lead the Division of General Internal
23 Medicine.

24 Over time I've had the opportunity to
25 work on leading, implementing population health
26 and care transformation programs for a large

1 integrated regional health system.

2 In that setting, I've also had a
3 portfolio of work study and evaluating payment
4 models relevant to and consistent with many that
5 PTAC talks about in my research and working with
6 a number of different decision-makers on how we
7 design these programs. It's great to join.

8 CO-CHAIR SINOPOLI: Next, I'll start
9 with Jennifer on my left, and we'll just work
10 around the table.

11 DR. WILER: Good morning. I'm
12 Jennifer Wiler. I'm the Chief Quality and Patient
13 Safety Officer at UC Health for Metro. And we're
14 the largest integrated health care delivery
15 network in Colorado, serving patients throughout
16 the Rocky Mountain region.

17 I'm also Co-Founder of UC Health's
18 Care Innovation Center, where we partner with
19 digital health companies to grow and scale their
20 solutions to help improve patient care and
21 outcomes. And I'm a tenured professor at the
22 University of Colorado and an emergency physician
23 by training. I also co-authored an Alternative
24 Payment Model that was considered by this
25 Committee.

26 DR. LIN: Good morning, I'm Walter

1 Lin, the founder of Generation Clinical Partners.
2 We are a group of providers based in St. Louis,
3 caring for the frail, elderly, and senior living
4 organizations.

5 The founding mission of Generational
6 Clinical Partners was to help senior living
7 organizations transition into the world of value-
8 based care. And I have a special interest in
9 finding payment models that support clinical
10 models to deliver a higher quality of care to
11 this population.

12 DR. WALTON: Good morning. My name is
13 Jim Walton. I'm a general internist by training.
14 Started my career in Waxahachie, Texas, as a
15 rural health physician and transitioned to caring
16 for the poor and underserved at Dallas with
17 Baylor Health Care System; and migrated my career
18 into as a Chief Health Equity Officer for Baylor
19 and then eventually became a CEO of a large
20 physician organization that moved into value-
21 based care called Genesis Physicians Group.

22 Just recently retired from there, and
23 now I'm running my own health care consulting
24 business, focused on Medicaid value-based payment
25 models for rural Texans and folks in Louisiana.
26 I'm doing some consulting work.

1 DR. BOTSFORD: Good morning. I'm
2 Lindsay Botsford. I'm a family physician in
3 Houston, Texas. I am also a Medical Director
4 with One Medical, a national primary care group.
5 And I think my experience with quality, I have
6 served for years previously on the NQF⁴ Primary
7 Care and Chronic Illness Standing Committee.
8 Also have my certification in Medical Quality.
9 Good to be here.

10 DR. KOSINSKI: I'm Dr. Larry Kosinski.
11 I am a gastroenterologist by training and spent
12 35 years in a private practice of
13 gastroenterology, built the largest
14 gastroenterology practice in Illinois at the
15 time. I have spent the last 12 years of my life
16 in value-based care.

17 I am the founder of SonarMD, a value-
18 based care company for deployed into patients
19 with inflammatory bowel disease. I am its
20 founder and currently a board member.

21 I am also on the governing board of
22 the American Gastroenterological Association. My
23 focus is on developing solutions to bring
24 specialists into value-based care both
25 independently, as well as part of Population-

4 National Quality Forum

1 Based Total Cost-To-Care Models.

2 DR. MILLS: Good morning. My name is
3 Terry Lee Mills. I'm a family physician by
4 training with an additional focus on clinical
5 informatics and hospice and palliative care.

6 I started in rural private practice in
7 central Kansas, then moved into medical group
8 leadership across several states, most recently
9 as CMO of a regional provider and health plan
10 focusing on MA⁵, commercial, and individual
11 exchange lives.

12 I've been throughout that path,
13 focusing on quality improvement, clinical
14 transformation efficiency, and value-based care,
15 including metrics from several different
16 perspectives, so I'm excited to hear the
17 conversation coming up.

18 CO-CHAIR SINOPOLI: Thank you PTAC
19 members. We have two PTAC members who are unable
20 to join us this morning, Dr. Jay Feldstein and
21 Dr. Chinni Pulluru. We do want to thank them for
22 all the contributions they made prepping for this
23 meeting today though.

24 So now, we'll go straight into our
25 first presentation. Four PTAC members served on

5 Medicare Advantage

1 the Preliminary Comments Development Team or
2 PCDT, which has worked closely with staff to
3 prepare for this meeting.

4 Jen Wiler was the PCDT lead, with
5 participation from Larry Losinski, Chinni
6 Pulluru, and Jim Walton. I'm thankful for the
7 time and effort they put in to organizing today's
8 agenda. I know how much work they put into it.

9 We will begin with the PCDT presenting
10 some findings from their analysis to set the
11 stage and goals for the rest of the meeting for
12 the next two days.

13 Additional background materials are
14 available on the ASPE PTAC website. PTAC
15 members, you will have an opportunity to ask the
16 PCDT any follow-up questions after the
17 presentation. And now, I'm going to turn it over
18 to Jen.

19

20 *** PCDT Presentation - Developing and**
21 **Implementing Performance Measures for**
22 **PB-TCOC Models**

23 DR. WILER: Great, thank you, Dr.
24 Sinopoli. And as you said, I cannot thank enough
25 my colleagues, Dr. Kosinski, Dr. Pulluru, and Dr.
26 Walton, in addition to NORC and ASPE staff who
27 were instrumental in helping us put together our

1 presentation today.

2 So what we'd like to do is summarize
3 the landscape assessment of this very broad space
4 around quality measurement, not only development,
5 but also implementation, challenges, and how they
6 affect population-based total cost of care models
7 and importantly, care delivery.

8 So our objectives for this theme-based
9 meeting are to first, discuss performance
10 measurement objectives, then to determine how
11 best to measure what the desired outcomes are,
12 because we know that's easy to say and really
13 hard to do.

14 To discuss the issues related to
15 developing performance measures for these types
16 of population-based total cost of care models,
17 including identifying the appropriate number of
18 measurements, the types of measurements, and how
19 to incorporate important components like equity
20 and patient experiences we've talked about
21 already this morning.

22 We'd also like to hear from our
23 experts over the next two days about discussing
24 approaches for linking performance measurements
25 to payment and financial incentives and what are
26 challenges and best practices.

1 So what we have done is summarize our
2 previous experience and evaluated that PTAC has
3 received 35 proposals for physician-focused
4 payment models, and we deliberated on the extent
5 to which 28 of those models in the past have met
6 the Secretary's 10 regulatory criteria.

7 I think it's no surprise to anyone
8 that of the models that we evaluated, nearly all
9 of them that had been submitted to PTAC included
10 some component of performance measurement.

11 And we found that 60 percent of them,
12 through our deliberations, met Criteria 2 and 4,
13 which are Quality and Cost, and Value Over Volume
14 respectively. So what we'd like to do today is
15 start with framing an approach to performance
16 measurement understanding.

17 And again, an approach to how these
18 measures can and should be used in population-
19 based total cost of care models. So our working
20 definition that we would like to propose for
21 performance measurement and used for this
22 analysis, is that performance measures assess and
23 monitor all aspects of participants' performance
24 within the models which include quality, both
25 process and structure, outcomes, costs, and
26 utilization.

1 We spent a lot of time thinking about
2 how do we describe this very dense area around
3 performance measurement and would like to propose
4 this rubric. And really, these are the guiding
5 principles and types of performance measurements
6 that we think can and should be within
7 population-based total cost of care models.

8 So on the outside of the wheel are the
9 guiding principles that we believe should and are
10 the driving forces of moving the gears inside.
11 The gears are the measures to evaluate those
12 principles.

13 And at the core is the patient
14 experience and care delivery team effectiveness.
15 So again, on the outside of the wheel, patient
16 engagement, care coordination transitions,
17 equity, efficiency, and pro-active, patient-
18 centered, high-touch care.

19 We think those should be the guiding
20 principles to the measures which are outcomes,
21 utilization, cost, and quality, of which there
22 are many that further delineate each of those
23 sub-categories.

24 But what we thought would be helpful
25 is giving a clinical example to specifically
26 describe what we just showed. And as we know,

1 patients go through stages in their health
2 journey from health maintenance, all the way
3 through disease management and ultimately to
4 palliative care.

5 And each of these stages conforms to
6 occurrences or conditions that providers
7 appreciate. Like in this example here for liver
8 disease. If we overlay the wheel of guiding
9 principles and metrics to evaluate this rubric
10 that we just showed you, that could be one way
11 that ultimately we develop clinical measures that
12 are meaningful, both process and outcomes, costs
13 and utilization.

14 Again, in this example, we show a
15 patient with elevated liver enzymes who then
16 progresses through end-stage liver disease and
17 had those guiding principles of pro-active
18 patient-centered high-touch patient engagement,
19 care coordination transitions, equity, and
20 efficiency may be applied.

21 So what we did next was do a landscape
22 assessment of our current performance measures.
23 And to start this landscape assessment, we used
24 this approach.

25 First, we asked what care outcomes
26 should be a focus in population-based total cost

1 of care models? Then, what process measures
2 drive to that outcome?

3 Next, what current measures exist for
4 evaluating this care? Next, what are the
5 performance gaps in these current measures? And
6 finally, how to link performance measures with
7 financial goals.

8 So what we found in our landscape
9 assessment by doing an analysis of information in
10 the CMS Measures Inventory Tool, CMIT. We found
11 out there are 24 Medicare pay-for-performance or
12 pay-for-reporting programs.

13 Currently there are 618 performance
14 measures that are used within these 24 model
15 programs. And interestingly, what we found is
16 that of these measures, 61 percent were unique,
17 meaning used by only one program or model.

18 And we believe that what this
19 acknowledges, is that there may be unique care
20 delivery locations, conditions, or processes.
21 However, what we found that was interesting, is
22 that it may be challenging to scale these
23 measures to different groups that participate in
24 multiple Medicare programs.

25 And additionally when we did this
26 assessment, we also found it interesting that 59

1 percent of the measures that are currently used
2 were not endorsed by a CMS consensus-based
3 entity. When we did this evaluation of these 24
4 programs, we also found, maybe to no surprise,
5 that a majority of the measures, half, in fact,
6 were related to the MIPS⁶ program.

7 Now we recognize that participants get
8 to choose at least six quality measurements, one
9 of which must be an outcome measure from the full
10 set.

11 And that CMS ultimately performs a
12 calculation of performance and that participants
13 are not scored on all of these measures.
14 However, a majority of the current measures are
15 located within or contained within this MIPS
16 program.

17 When we looked at these measures, we
18 also found that the distribution of the current
19 measures is primarily focused on process
20 measures, 52 percent, in fact. And an additional
21 39 percent were related to outcome measures.

22 Further, when we evaluated these 24
23 programs and models, we found, no surprise, that
24 almost all of them had some linked payment. That
25 said, the types of linkage varied from pay-for-

6 Merit-based Incentive Payment System

1 reporting to pay-for-performance.

2 Here's just a couple of examples of
3 those programs. However, what we did find
4 interesting in doing this assessment, is that
5 there was no clear association between the number
6 of performance measures and the percentage of
7 financial risk across the 24 program models that
8 were analyzed; which I think it's important to
9 say, this leads to a number of questions, and it
10 may actually impact physician and clinician
11 participation in programs, which I'm sure we'll
12 hear about when we speak to our experts.

13 Now there's a couple of different
14 types of programs that exist, those related to
15 quality and outcomes and those programs that are
16 related to utilization and cost, which we
17 included here as part of our assessment.

18 But mainly what we thought we would do
19 is spend the majority of our time diving into
20 what we believe are some of the challenges to
21 developing and implementing these performance
22 measures and the programs.

23 This is a summary of what we found.
24 Again, this is not meant to be exhaustive, and
25 what we expect over the next two days, is we will
26 hear more and want to hear from our experts

1 around surfacing these challenges, really diving
2 into where those opportunities are, with the hope
3 that then we can identify best practices and
4 figure out solutions.

5 But in our landscape assessment, we
6 found these broad buckets of challenges that
7 included identifying first, meaningful measures,
8 ensuring that we have measures that are
9 clinically meaningful to patients and clinically
10 relevant to providers.

11 Where one might think that those
12 things are correlative, but interestingly, they
13 are not always. In addition, I think we all
14 understand that these meaningful measures need to
15 enhance value-based care.

16 We also found challenges related to
17 the measured development process, the
18 administrative feasibility of developing, not
19 only developing measures but reporting on
20 measures, the data collection infrastructure
21 needed to do that, and the availability and
22 timeliness of performance data, which is
23 ultimately needed to inform changes of behavior,
24 care delivery systems, and outcomes.

25 So let's dive into this a little bit
26 more, each of those buckets I just described.

1 First, under meaningful measures and the
2 challenges. Currently, there is little evidence
3 that public reporting of measures is linked to
4 improved overall quality of care in the United
5 States.

6 Just one example of an assessment that
7 was done of the CMS Hospital Compare program;
8 when looking at risk-adjusted mortality for heart
9 attack, heart failure, and pneumonia, there was
10 no improvement in risk-adjusted mortality
11 outcomes.

12 Second, where provider scores on
13 performance measures may not necessarily be
14 associated with patient outcomes. We were
15 interested to find in one study of the MIPS
16 program that nearly one in five primary care
17 physicians in 2019 received a low performance
18 measure score. However, their health-related
19 outcome score was high, which is not intuitive.
20 And interestingly, that it's not only not
21 correlative, but it's the exact opposite of what
22 one would expect.

23 And then, third, when we think about
24 the patient-reported outcome measures or PROMs,
25 we acknowledge that there this is a promising
26 approach to measure not only patient symptomology

1 and their self-assessment of care, but also
2 health status. But currently, there's limited
3 peer review literature on what are the ideal
4 PROMs across all different types of patient care
5 conditions and clinical specialty areas.

6 The next challenge is around the link
7 in resource intensity of measured development
8 process, which is not insignificant. The
9 development that we measure involves multiple
10 steps that can take on average five to six years
11 to complete.

12 Indeed, completing just the
13 endorsement process may take up to six months
14 between the time a measure is submitted for
15 endorsement to the time when an endorsement
16 decision is made. In addition, there's time and
17 resources that are required to adapt these
18 measures for use in value-based care programs.

19 For instance, a 2021 GAO⁷ report showed
20 that a stakeholder group that was working with
21 CMS for three years, worked with CMS for three
22 years to convert seven pathology-specific
23 registry measures into the MIPS program.

24 Although one might think that that's
25 unique to this specialty, there are examples

7 Government Accountability Office

1 across many other specialties where there is an
2 aligned incentive to identify outcomes in process
3 measures that are important to care outcomes, but
4 that the process just takes a very long time.

5 The other challenge is around
6 administrative feasibility. We found that
7 quality reporting places substantial
8 administrative burden on physicians and staff.

9 Indeed, just one study of physicians
10 and staff, they estimated that 785 hours were
11 given per physician annually to manage quality
12 measurement, not just care delivery, but
13 ultimately reporting.

14 And that the greatest amount of time
15 was spent on entering information into the
16 medical record with the only purpose for
17 reporting quality measures to external bodies,
18 not necessarily actually for care delivery. And,
19 it's estimated that the time coordinating and
20 managing quality measures, on average translates
21 to \$40,000 per physician, which is considered, as
22 we all know, administrative overhead.

23 And really an opportunity for us when
24 we think about cost savings, where we'd rather be
25 focusing those dollars on delivering care to
26 patients.

1 Next, under administrative
2 feasibility, one additional challenge is measure
3 consistency. And our group thought it was really
4 important to surface this, because those of us
5 who are in the field doing this work every day,
6 this is actually a big pain point for us.

7 In a national survey of physician
8 practices, 46 percent of physician leaders
9 reported that working with measures reported that
10 they were working with measures that were
11 similar, but not identical, and this was a
12 significant burden.

13 And I will give you just an example in
14 my current day job. The risk adjustment
15 methodology for the mortality metric of observed
16 to expected, is different across three different
17 types of programs, both within the Medicare space
18 and outside of the Medicare space.

19 Yet, as my health care delivery
20 organization is required to report on all of
21 them, and then I have to explain to our Board of
22 Directors why I have three different numbers for
23 three different groups of patients that actually
24 don't look any different from a demographic
25 perspective.

26 Next, the analysis of this data, of

1 these 24 program models, found that 26 percent of
2 the current performance measures within the CMS
3 measure inventory toolkit or tools were used by
4 more than one program or model, and many of them
5 had different numerators and denominators.

6 I will note that in our presentation
7 today, we have a very dense appendices that has
8 lots of details of some of these things that I'm
9 describing. And the reference list for all of
10 the data that we're providing is in that and
11 available.

12 Next, the data collection
13 infrastructure is challenging. Currently, we
14 found in this assessment that 54 percent of
15 current performance measures are from electronic
16 sources. And I think that that part is not
17 surprising.

18 But what I do think we found
19 interesting is that although some of these are
20 through claims data, EHR⁸ vendors, and the non-
21 electronic clinical data space, it's worth saying
22 that for instance, in this registry space, there
23 may be some additional direct and indirect costs.

24 So the cost for participating in the
25 registry and then the cost for the administrative

8 Electronic health record

1 oversight of collecting the data, sometimes
2 within organizations manually, and then also
3 submitting it.

4 We also found that 40 percent of
5 current performance measures then are using
6 multiple data sources. So again, administrative
7 burden related to trying to not only collate the
8 raw data, but to send it, and then ultimately the
9 cost to bring that data back, aggregate, and
10 analyze.

11 Next, the availability and timeliness
12 of performance data was an area that we
13 identified as a challenge. And of course, this
14 is going to be impacted by the variability of the
15 databases that I just described.

16 But, for instance, we found that it
17 typically takes five to six months after a health
18 care event to finalize Medicare-specific
19 administrative claims data with updates that may
20 continue well beyond 12 months.

21 In addition, the cost and utilization
22 data that goes into the HCUP program, or the
23 Healthcare Cost and Utilization Project, is
24 available 18 months at the end of the year.

25 So that timely, actionable component
26 is really challenging. Ultimately, when we need

1 to make decisions around how to do improvement
2 work and when there's over a year of delay, that
3 can be very challenging.

4 So those were the challenges related
5 to developing and implementing measures. But we
6 also thought as part of our landscape assessment,
7 it was worth elevating the challenges related to
8 linking these measures to payment.

9 Again, we used the same approach to
10 summarize the different categories of work that
11 we think needs to be done. And just to say it,
12 each of these areas could be an entire
13 presentation in and of itself, but just to go
14 through it briefly.

15 Creating meaningful financial
16 incentives for improvement that incentivize care
17 that is high-value and evidence-based, you know,
18 is the goal. There are different types of
19 financial incentives that do work.

20 There is data that shows in the pay-
21 for-performance space, for instance, in one
22 study, clinics that had a pay-for-performance
23 incentive increased the rate of recommended
24 medication to prevent thrombosis from going from
25 12 percent to 6 percent, I'm sorry, excuse me,
26 going from 6 percent to 12 percent, and that

1 actually large incentives may have a greater
2 impact.

3 We found additional data in one study
4 that showed that when there was an over 5 percent
5 of salary or usual budget tied to performance
6 measures, there was three times the effect of the
7 program than on smaller incentives. But what was
8 interesting is that this correlation of amount of
9 incentive was not consistent across the peer-
10 reviewed literature.

11 We found another study by Rodriguez,
12 et al., that found that actually smaller
13 incentives were linked to greater improvement,
14 one related to a provider communication program
15 as opposed to larger incentives.

16 So again, there's variability on does
17 money make a difference, yes. How much money,
18 actually, it looks like it may be variable. So
19 we're going to be really interested to hear from
20 our experts to hear if they have any opinion, you
21 know, about an experience in that space and give
22 us some recommendations.

23 In addition, there was a challenge
24 around timeliness of payment. In one study we
25 found that physicians significantly preferred, no
26 surprise, to have their bonus payments made in a

1 more timely way, six months instead of 12 months.

2 And not only did we evaluate what was
3 the impact around financial incentives, but there
4 was also a body of literature looking at
5 financial penalties. In one program that was in
6 the surgical care space, found specifically that
7 there was a positive impact on patient care when
8 penalties were used.

9 But interestingly, there was no impact
10 on patient care when incentives or rewards were
11 used. So again, I think that's an interesting
12 space for us to dive into with our experts.

13 So we understand that ensuring
14 equitable outcomes is a desired outcome of
15 population-based total cost of care programs.
16 And linking payment to performance is one
17 component. But what we noted is that these pay-
18 for-performance programs may disproportionately
19 penalize providers that serve lower socio-
20 economic classes or vulnerable communities.

21 For instance, safety net hospitals,
22 when there's been previous assessments that have
23 shown that when safety net hospitals were
24 disproportionately penalized in the CMS Value-
25 Based Purchasing Program and the Hospital
26 Readmissions Reduction Program, there was then in

1 2019 an HRRP update to stratify these benchmarks
2 to try to acknowledge those challenges.

3 There are a number of different
4 methodologies that are currently being used to
5 not inappropriately penalize groups who are
6 providing care to these vulnerable communities,
7 but there's still not great literature around how
8 to do that in a cost-effective and high-quality
9 way.

10 Finally, preventing unintended
11 consequences we thought was important to mention.
12 Pay-for-performance programs may unintentionally
13 create perverse incentives, and these were just
14 three that we wanted to call out.

15 The first was that by creating focus
16 on certain measures, there may be a hyper-
17 fixation on care delivery for those measures
18 where there is lack of focus on other care that
19 is important, so an inappropriate measure
20 fixation, for instance.

21 There is also an acknowledgment that
22 measures may create a perverse incentive to
23 divert care or focus away from important clinical
24 areas, or to just focus on healthier patients to
25 prevent penalties for caring for, for instance,
26 more vulnerable patients as we just discussed.

1 So gaming the system, or create
2 shifting of care patterns and avoidance in
3 treating disadvantaged, underserved, or high-cost
4 patients, which may result in colloquially,
5 patient dumping.

6 We acknowledge that there's a
7 challenge around how to create a risk adjustment
8 methodology to acknowledge the last two
9 challenges that I just spoke about with regard to
10 equity and preventing unintended outcomes.

11 Risk adjustment, we have talked about
12 a lot in previous meetings, and I actually think
13 there's more to dive into that we hope we can
14 over the next two days. That said, we know an
15 entire meeting could just be dedicated to this.

16 However, briefly we thought we would,
17 in our assessment, landscape assessment, we
18 identified that 12 out of the 14 CMMI models, so
19 86 percent, use a risk-adjusted methodology on
20 which 30 percent apply the CMS hierarchical
21 condition categories, risk scores. And the other
22 8, or 71 percent, use different risk
23 stratification and risk adjustment methodologies.

24 Again, that creates a challenge when
25 doing data and analytics creating appropriate
26 comparative groups and makes one wonder, is there

1 an ideal methodology that exists if there are so
2 many that are currently being used and where is
3 that opportunity?

4 Benchmarking is also challenging then,
5 because risk adjustment leads directly into then
6 comparisons, both internal and external. We know
7 that national benchmarks do not account for
8 geographic differences in patient populations and
9 may unfairly penalize certain types of providers.

10 In our last meeting, we actually had a
11 robust discussion about this with regards to
12 rural care delivery systems and providers. And
13 based on our analysis of 14 selected CMMI models,
14 43 percent of those programs used benchmarks that
15 are related to national data rather than
16 regional, local, or provider historical payment.

17 With regards to benchmarks, there is
18 also a known challenge that we wanted to make
19 sure that we acknowledged. And that's around the
20 use of performance thresholds. There's this idea
21 of an absolute threshold, which is consistent and
22 transparent for all providers, but it might not
23 promote improvement for providers that already
24 meet these thresholds.

25 So high-performing organizations
26 typically will continue to be high-performing

1 when these benchmarks are used. There are
2 different types of thresholds which are relative
3 thresholds that do promote continuous improvement
4 of organizations.

5 But it may reduce collaboration
6 between high-performing and low-performing groups
7 and actually create persistent gaps and
8 encourage, let me say this, it would not
9 encourage collaboration, which is ultimately what
10 is needed in population-based total cost of care
11 models.

12 And this is actually the predominant
13 model in the CMMI models in which we noted that
14 86 percent had the relative threshold
15 benchmarking approach.

16 So again, I wanted to thank my
17 colleagues on the PCDT team, and NORC and ASPE
18 staff for helping us to do this landscape
19 assessment. Again, there is lots and lots of
20 detail behind what I both presented and did not
21 present today in our appendices.

22 But we really wanted to conclude by
23 focusing on where we started. And this is what
24 we think the relationship should be between the
25 guiding principles and the types of performance
26 measures within total cost of care population-

1 based models.

2 And again, focusing on the core, the
3 patient experience and care delivery team
4 effectiveness. We think if we use this rubric in
5 thinking about how to not only do model
6 development, but implementation and assessment,
7 that this is a way for us to help our collective
8 goals.

9 So in the next two days, we hope that
10 we will have the opportunity to focus on the
11 things that I have just discussed, developing
12 objectives for the performance measures for
13 population-based total cost of care models, what
14 do we want to measure in these models, and how we
15 hope to hear about that from our experts.

16 We want to hear more about the issues
17 related to selecting and designing measures from
18 folks who actually had that experience. We
19 really want to hear about best practices to
20 measure development, utilization, and financial
21 and quality outcomes.

22 And then ultimately hear about this
23 unique component around linking performance
24 measurement to financial outcomes and what has
25 been successful and not successful in the past
26 and how that can inform moving forward. And with

1 that, I will turn it over to my PCDT colleagues
2 for any additional comments.

3 DR. KOSINSKI: Jen, you did a
4 fantastic job. I don't know how much more I have
5 to add to it, but a couple of points just to
6 emphasize. The first one is time, the time to
7 develop these measures and implement them whether
8 we did it in a fee-for-service world, or in a
9 population-based total cost of care environment,
10 we've got to figure out a way to shorten the time
11 period it takes to actually implement them.

12 And implementing them, meaning they
13 are actually in the workflow of care without a
14 physician having to do something outside of that
15 care in order to document it. We also have to
16 make sure that process does lead to outcomes.
17 This is very, very important. We spend way too
18 much time on process measures that have never
19 been demonstrated to result in an outcome.

20 My third point would be, we not only
21 have to have these apply to primary care
22 physicians, they certainly have to be applied
23 across the specialty space. And we can't forget
24 the patient, those patient-reported outcomes,
25 measures are critical.

26 And then finally, to close it, we

1 can't just deploy these and throw them out into
2 space, we have to re-evaluate them, we have to
3 make sure that what we've developed is actually
4 producing the outcome that we intended it to
5 produce, and if it isn't, we need to be changing
6 them. Thank you.

7 DR. WALTON: My only emphasis would
8 be, by the way, great job, was that physicians
9 are increasingly, you know, ever since these
10 measures connected to value-based work had gotten
11 launched, physicians kind of communicate that
12 this is often times an administrative paper chase
13 through the computer and that they're not
14 necessarily relevant.

15 So I think the data supports what
16 we're hearing from the field from our colleagues.
17 But the most, but the thing that I really want to
18 elevate was that doctors, just like patients, are
19 practicing in milieus that are high-risk and
20 that's captured often times now in the area
21 deprivation index.

22 And I think it really would be
23 helpful, and I'm glad to see that we were able to
24 elevate that here, that digging deeper into the
25 impact of ADI or other measures that could kind
26 of help us index for our colleagues, our

1 physician colleagues, the environment with which
2 they're trying to make progress on patient-
3 reported outcomes. And so I thought I would just
4 elevate that.

5 CO-CHAIR SINOPOLI: Thank you all. I
6 think, unfortunately, we are running out of time
7 here. That was a, and that's going to generate a
8 lot of great questions for the next two days,
9 that was a fascinating report.

10 And I know just as you were going
11 through it, just lots of questions going through
12 my mind and things I wanted to ask, but we'll
13 make sure they get asked over the next couple of
14 days.

15 So Jen, and PCDT team, I want to thank
16 you for all that hard work I know you put into
17 that. It was pretty detailed work. So at this
18 time, we're going to take a break until 10:30.
19 Please join us to hear from our great lineup of
20 speakers, starting then. And the next one will
21 be on Developing Objectives for Performance
22 Measurement for Total Cost of Care. So we'll see
23 you back at 10:30, thank you.

24 (Whereupon, the above-entitled matter
25 went off the record at 10:22 a.m. and resumed at
26 10:32 a.m.)

1 * **Panel Discussion: Developing**
2 **Objectives for Performance Measurement**
3 **for PB-TCOC Models**

4 CO-CHAIR HARDIN: Welcome back.
5 Before the break, Dr. Wiler and the PCDT shared
6 our starting point for this public meeting and
7 some of the questions we want to explore. And
8 now, I'm very excited to kick off our first panel
9 discussion.

10 At this time, I ask our panelists to
11 go ahead and turn on video if you haven't done so
12 already, and we're very fortunate as well to have
13 two presenters in person today. In this session,
14 we have invited four esteemed experts to discuss
15 how they develop objectives for performance
16 measurement for total cost of care models.

17 After each panelist offers a brief
18 overview of their work, I will ask a few
19 questions and then PTAC members will have any
20 opportunity to ask any follow-up questions. The
21 full biographies of our panelists can be found
22 online along with many other materials for
23 today's meeting.

24 I will briefly introduce each of our
25 guests and give them a few minutes each to
26 introduce themselves. After all four

1 introductions, we will have plenty of time to ask
2 questions and engage in what we hope will be a
3 robust discussion.

4 So first we have Dr. Cheryl Damberg,
5 who is the Director of RAND Center of Excellence
6 on Health System Performance. Cheryl, welcome
7 and please go ahead.

8 DR. DAMBERG: All right. Thank you
9 for having me. It's a pleasure to be here and I
10 can't actually see anybody in the room. But let
11 me start by just giving you a very brief overview
12 of some of my background that's relevant to the
13 discussion today.

14 So over my career I've been engaged in
15 performance measurement, and I was actually
16 thinking about this last night. That this
17 started back with one of my very first jobs at
18 HHS⁹ working on the Healthy People objectives for
19 the nation and looking at measurement of
20 performance of the health system at large.

21 But I have spent time working with
22 purchasers. I used to work for the Pacific
23 Business Group on Health, now the Purchasers
24 Business Group on Health, leading efforts to do
25 performance measurement and shift to value-based

9 Department of Health and Human Services

1 payments, getting the private sector to pivot in
2 that direction.

3 I have also had decades of experience
4 developing and applying these performance
5 measures in practice. And so understand the
6 complexities of development of measures, as well
7 as their implementation.

8 More recently, I've been involved in a
9 range of studies looking at trying to understand
10 the impacts of the use of measures and
11 financially incentivizing providers for their
12 performance.

13 I have also been trying to understand
14 their response to performance-based
15 accountability. Particularly in the context of
16 total cost of care models and understanding what
17 they're doing to the cost curve, as well as
18 maintain or improve their performance.

19 Lastly, more recently I've been
20 exploring the challenges that providers face in
21 redesigning care to get to high performance. So
22 next slide. So we were asked to consider a few
23 questions for today's panel meeting.

24 And, you know, as I think about
25 performance measurement and using performance
26 measures to drive delivery system transformation,

1 I think we have to be clear on what are our key
2 objectives. And I don't think it's just to
3 measure performance.

4 I think what the objective here in my
5 mind is that we would encourage systems that are
6 caring for our population to build a measurement
7 infrastructure so that they internally can
8 monitor and improve their performance and in the
9 process, change care delivery through an ongoing
10 learning process.

11 And I think the measurement that we
12 collectively use, whether it's private sector
13 payers or public sector payers, it provides a
14 really strong signal about where these
15 organizations should invest resources related to
16 transformation activities. So I think we have to
17 sort of carefully think about where we want them
18 to invest.

19 Also, I think there's sort of this
20 tension implied between what I'm going to call
21 macro level measurement versus micro level
22 measurement.

23 So one can sort of go into the weeds,
24 measure lots of things, versus measuring sort of
25 broader constructs and allowing health systems to
26 dig deeper to try to understand what are some of

1 the drivers.

2 And I think the total cost of care
3 measure is one good example of that. And I think
4 if we stay focused on some of these macro
5 measures, they may be sufficient to drive
6 transformation and be less burdensome to systems
7 and providers.

8 In terms of the types of incentives
9 that are needed to facilitate improved outcomes,
10 I think one thing to consider in any performance
11 measurement dashboard is the need to have a broad
12 set of measures to cover the range of quality
13 dimensions that are in play, but also importantly
14 to avoid gaming.

15 When there's a small number of
16 measures that focus on a narrow set of things,
17 this can create an environment that allows
18 providers to game and focus on a very narrow set
19 of things. And, you know, we would lack
20 understanding of what's happening in a broader
21 sense related to the quality environment.

22 I think we also need to be emphasizing
23 more health equity measurers. We see time and
24 again, the lagging of performance among certain
25 subgroups of the population, and I think we need
26 to do more to tie performance measurement and

1 payment and accountability to try to do better
2 with these subgroups.

3 Importantly, we need to be doing more
4 to measure patient-reported outcomes and using
5 that information to change how we deliver care to
6 patients. And, most importantly, and if we want
7 to shift to the next slide, this point is made on
8 the second slide.

9 I think the critical thing here is
10 that measurement is only one piece of a larger
11 puzzle, and that other strategies and tools are
12 needed to actually drive system change. And I
13 would say first and foremost, some of the, what
14 I've been learning from my work and in
15 interviewing health systems and provider groups
16 around the country, is that payment reform is
17 happening too slowly for them to actually invest
18 in transformation.

19 When you ask them what percent's at
20 risk, generally they tell me a very small
21 percentage, one, two percent of their total
22 revenue flow. And I think they had expectations
23 that there would be more rapid transformation to
24 these models, and it's been slow going, at least
25 from their perspective.

26 And the payment reform is really

1 needed to support this care redesign and
2 innovation. And another thing is, you know,
3 changing up how we design insurance to drive
4 value. So there are a number of things that
5 should be considered in concert with performance
6 measurement.

7 On some of the issues on the table, so
8 I sort of listened in a little bit toward the end
9 of the last one. This issue of burden comes up
10 repeatedly, about do we have too many or too few
11 measures. So this is where I think we have to be
12 judicious in thinking about what are the
13 important areas to measure and what can provide
14 some of these macro signals.

15 I do think that outcomes are very
16 important and something we should focus on, but
17 we have to recognize they can be harder to
18 measure, either because they require more
19 clinical detail, they take longer to observe,
20 potentially they require collecting data directly
21 from patients. But I would say we need to do
22 more to push to better measure in that space.

23 I may be a different voice in the
24 room. I still think it's important to measure
25 these various processes of care. Certainly many
26 of them are tied to evidence-based outcomes. And

1 in some prior work that we did around the
2 hospital value-based purchasing program for CMS,
3 we actually did find improvement in outcomes.

4 And I think one needs to be careful
5 reviewing the literature, the relationship
6 between process and outcomes, because many of
7 those studies have significant flaws that don't
8 actually tell you what's happening. And I can
9 say more about that later. So I've touched on
10 disparities and patient experience. But one
11 thing, and I'm hoping Eric [Schneider] is going
12 to touch on this, you know, we've had outdated
13 processes for performance measurement
14 construction and reporting.

15 And you know, I think we're at this
16 critical juncture where we can do better. And
17 this will enable a broader set of measurement
18 leveraging, you know, the electronic health
19 record, AI¹⁰ tools, and so on. So I think we're
20 at this, you know, pivotal point where the
21 landscape may change significantly around
22 performance measurement.

23 And then lastly, I would note that,
24 you know, there's this tension between measuring
25 at like the organization level versus the

10 Artificial intelligence

1 individual physician level, but a lot of the
2 actions that need to take place to actually
3 improve performance are not sort of right at the
4 front line with the individual physician.

5 And in my conversations with
6 organizations who are trying to drive system
7 change, a lot of that change happens at the
8 organization level.

9 So I think we need to be mindful of
10 where we're targeting these various incentives
11 and what type of behavior we're trying to change.

12 So I will just stop there, and I think you are
13 moving on to Helen next?

14 CO-CHAIR HARDIN: Thank you so much,
15 Dr. Damberg. We're really interested in hearing
16 your insights in the question period as well.
17 Next we have Dr. Helen Burstin, who is the Chief
18 Executive Officer of Council of Medical Specialty
19 Societies. Please go ahead.

20 DR. BURSTIN: Great. Thank you so
21 much for having me today. It was really a
22 pleasure to hear the earlier presentation by Dr.
23 Wiler on the team. So many of the reflections
24 were things Eric and I were noting, we could have
25 sort of easily jotted down many of the same
26 things, but thank you for the detailed analysis.

1 It was, I think as you will hear, reflected in a
2 lot of our comments as well.

3 So as mentioned, I am the Chief
4 Executive Officer of the Council of Medical
5 Specialty Societies which is really an
6 organization of organizations. We work to
7 advance the expertise in the collective voice,
8 especially societies really in support of
9 physicians and the patients they serve, very
10 importantly that last part is not -- is quite
11 intentional in our mission.

12 We currently represent 53 Specialty
13 Society members across medicine, primary care to
14 surgery to everything in between. So very
15 importantly, this isn't just a reflection of
16 subspecialty medicine, but just broadly
17 understanding it from the physicians' specialty
18 perspective.

19 Collectively, those organizations
20 represent more than 800,000 individual physician
21 members and other clinicians as well. Specialty
22 societies, and we've talked a little bit about
23 the burden already today, play a significant role
24 in both the development and the testing of
25 quality measures.

26 And I'd like just to come back to the

1 testing issue which is a huge pain point on the
2 path to implementation. There are currently
3 really no dollars out there except for some
4 foundation support for quality measurement.

5 These are not inexpensive measures to
6 build, as you've heard, the idea of spending a
7 half million dollars on a measure and then a
8 several year process ahead of you to get it
9 through approval and, you know, finally getting
10 it into practice is a difficult and heavy lift.
11 About 20 of our societies have clinical
12 registries.

13 Some of them have multiple, for
14 example, ACC¹¹, and that American College of
15 Surgeons, each have multiple clinical registries.

16 We've seen a significant change over the last
17 several years. There's really a move towards
18 using much more information that emerges from
19 digital sources, as well as electronic health
20 records, even practice management systems.

21 It is still a very heavy lift, and
22 most of the societies still rely on data, folks
23 who really help with the data, intermediaries,
24 which are expensive, difficult, and costly. So
25 that translation piece is, really continues to be

11 American College of Cardiology

1 significantly difficult.

2 Just about myself, I have a very long
3 history in quality and equity measurement. I was
4 the former Chief Scientific Officer for a decade
5 at the National Quality Forum in the past. I'll
6 also mention just interesting being in this room.

7 It is 20 years since I led the first
8 National Healthcare Disparities Report at the
9 Agency for Healthcare Research and Quality. And
10 I think we all thought putting out those data
11 stratified by race and ethnicity would change
12 everything, and very sadly, very little has
13 changed in both equity measurement, assessment,
14 and improvement.

15 So just, it's hard to not be in this
16 room and reflect on, we actually did the launch
17 right in this room 20 years ago. So with that,
18 let's go to my next slide, please. I just have
19 one slide of key takeaways. You'll have lots of
20 time for discussion.

21 I think very importantly as we think
22 about the role of physicians in this space, and I
23 say specialists really broadly here, both primary
24 care, as well as specialty providers, specialists
25 in general.

26 We have to be accountable for measures

1 that likely are attributable to our performance.
2 But as we really think about these population-
3 based total cost of care models, really important
4 that even if you're not directly attributable,
5 thinking through what your role is in terms of
6 how that's reflected in the team-based population
7 health measures I think is going to be critical.

8 For example, looking through some of
9 the other lists of measures, measures of access
10 and timeliness directly make sense, when you
11 think about it, through the lens of what a
12 specialty, a specialist might bring to a
13 population-based measure, for example.

14 And I think as we think about what's
15 next and what are the kind of measures that we
16 need, specialty measures that reflect
17 appropriateness, shared decision-making, and
18 patient-reported measures, I think are
19 particularly, they're difficult, but I think they
20 are also the ones that potentially support
21 collaboration across time, across settings,
22 across clinicians, in population-based total cost
23 of care models.

24 And so I think, hopefully, there are
25 opportunities for us to think about how to take,
26 for example, many of the clinical guidelines and

1 appropriateness criteria that are already
2 embedded in the work of our specialty societies
3 and think about how they actually can become part
4 of these models; going forward. I think there is
5 some interesting collaborative strategies there.

6 We have to think about the strategies
7 to include specialty-specific measures that
8 derive from rich clinical data, particularly in
9 clinical registries, as well as digital quality
10 registries, digital quality measures, but really,
11 really importantly, developed for and by
12 clinicians.

13 Clinicians really looking and
14 reflecting on, you know, if it's difficult to
15 collect or it's important to collect, is the
16 juice worth the squeeze, is this a measure that
17 I'm going to want to look at, turn to my
18 colleague on the right side of me, the left side
19 of me and say, "How did you do?"

20 Because actually that measure is
21 meaningful enough that I want to look at it and
22 see how I can improve performance. And
23 unfortunately a lot of the claims-based measures
24 we have currently don't answer that, would not
25 pass that test.

26 There are things we just sort of look

1 at, and it's difficult to really assess what it
2 means clinically in terms of my role, in terms of
3 quality improvement, or clinical improvement from
4 the perspective of the physicians.

5 And then finally, I think very
6 importantly and this came out significantly in
7 Dr. Wiler's performance, the idea that we have
8 measures that are meaningful to physicians, and I
9 would add here, and patients, and that provide
10 actionable information that can be used to drive
11 improvement across patient-focused episodes is
12 where we need to go. Those are difficult to do.

13 I know several of our studies are
14 currently developing new measures in that space,
15 but again, the time crunch, the lack of test beds
16 for testing measures, the difficulty of getting
17 them into documentation, the continued difficulty
18 of really creating digital quality measures given
19 where we are right now is still a leap.

20 And so anything I think we can
21 collectively think about what we can do, you
22 know, in a coordinated way across our different
23 sectors is where we need to go right now. The
24 current status quo is not acceptable. It doesn't
25 work for anyone as I think you elegantly pointed
26 out in your presentation, Dr. Wiler, and by your

1 team.

2 And it's really time to think
3 differently, and hopefully this is an
4 opportunity. So thank you.

5 CO-CHAIR HARDIN: Thank you so much,
6 Dr. Burstin, really looking forward to hearing
7 your perspective through that 20-year lens of
8 working on change in this area.

9 So next we have Dr. John Bulger. He
10 is the Chief Medical Officer of Insurance
11 Operations and Strategic Partnerships at
12 Geisinger Health Plan. Please go ahead, Dr.
13 Bulger.

14 DR. BULGER: Great, thank you. So, my
15 name's John Bulger. I'm the, that mouthful of a
16 title, and I bring a number of different
17 perspectives today. It's really, appreciate the
18 opportunity to talk and thank the Committee for
19 that. And it's actually, it's great to do this
20 with Cheryl and Helen and Eric, and I think we'll
21 have a rich discussion.

22 So my primary role now is as Chief
23 Medical Officer at Geisinger Health Plan. And if
24 you don't know about Geisinger, Geisinger is in
25 Pennsylvania, it's in North Central Pennsylvania.
26 And I included the map just to give some flavor

1 of where our clinical footprint is, which is the
2 blue area.

3 From a health plan perspective, we're
4 statewide in Pennsylvania in Medicaid, and that's
5 about half of our business. And then we also
6 have 100,000 members in Medicare Advantage, and
7 then have commercial, both ACA¹² and non-ACA
8 commercial plans, so it's really across all lines
9 of business, it's about 600,000 members,
10 government and non-government programs.

11 So I have that perspective. I also
12 play a role, and that's part of the long title,
13 within our clinical enterprise, and I help lead
14 our ACO¹³, so work with performance measurement
15 and quality measures a lot and what we're
16 reporting in many of the, with the ACO and
17 several other CMS demonstration projects, total
18 cost to care projects.

19 I used to be, prior to going to our
20 health plan, I've been at Geisinger 26 years, I
21 was the Chief Quality Officer at Geisinger, and
22 in that role was primarily responsible for all of
23 the quality reporting that Geisinger did, both
24 inpatient and outpatient, and did a lot of work

12 Affordable Care Act

13 Accountable Care Organization

1 around quality measurement.

2 And most recently as I had there, I
3 was a former of the NQF's CSAC¹⁴, which is the
4 committee at NQF at the time that all the
5 measurement reviews came up through, and spent a
6 number of years on that and a number of years on
7 many of the subject matter committees as chair of
8 the re-admissions committee.

9 So I come at this with a really, a
10 number of different viewpoints as to where we
11 are. Next slide. So, and I don't want to take
12 up a lot of time, and I somewhat cheekily maybe I
13 said, you know, the first takeaway I have is keep
14 it simple, and that's why I tried to keep the
15 slides simple.

16 But I think we heard already today,
17 that the amount of time that providers put into
18 this, and I can say from wearing my health plan
19 hat in talking to providers, anything you need
20 more than a few minutes to try to explain to
21 them, and certainly if you need a couple hour
22 meeting or you need a bulk of a document to give
23 them to try to explain whatever the program
24 happens to be, whether it's a P4P¹⁵ program or

14 Consensus Standards Approval Committee

15 Pay-for-Performance

1 whether it's how you're doing your value-based
2 program and what the measurement is, you've
3 pretty much lost them after the first few
4 paragraphs or after the first five minutes.

5 So keeping it simple, I think, is the
6 most important thing. I think there is a need to
7 focus on outcomes. I think where we have good
8 data that ties process to outcomes, I think it
9 makes sense to use that process, but it's the
10 outcomes that matter. And when we're looking at
11 what we want people to report, we really do want
12 to focus on the outcomes.

13 I think equity is important, and Helen
14 just talked about that. I think it helps us when
15 we're measuring to measure in many different
16 ways.

17 So you want to make sure that the
18 denominators, and this is where sometimes it goes
19 against keeping it simple, but where the
20 denominators include the groups that you want to
21 make sure that you're not missing from an equity
22 standpoint.

23 And it's one of those areas where I do
24 believe, and I will talk about in a second, that
25 if you aren't measuring that, it won't be
26 managed.

1 And lastly, I think, the goal of
2 measurement in total cost of care programs is to
3 protect the public. And in my days at NQF, we
4 talked a lot about the use of measures, and I
5 think the use becomes very important.

6 So the question is are you using them
7 for reporting or are you using them for
8 improvement or are you using them for
9 accountability or are you using them for payment?
10 And I think what you're using the measures for
11 means a lot to physicians, a lot to other
12 providers, and changes the way those measures are
13 used.

14 So if they are being used for payment,
15 it's a much different story than if they are
16 being used for improvement. Now sometimes we
17 like to think that we're using them for both, but
18 I think you need to understand when it changes
19 the payment, it changes the way providers look at
20 those measures.

21 And I think there's a danger when you
22 do that because you end up essentially studying
23 for the test. So providers figure out how to do
24 well in the measures, and that may or may not
25 play a role in whether patients are getting
26 quality and equitable care. So I think in total

1 cost of care programs, you've already set up the
2 program from a total cost of care standpoint.

3 And in almost every case, if you do a
4 good job from a total cost of care standpoint,
5 you will have high-quality care. What you are
6 looking for, I think, when you are measuring
7 that, is to protect either areas of the public
8 that are under-represented or the public in
9 general to make sure that you don't end up with
10 gaming the system and that studying for the test
11 piece.

12 And you know, I'll end just to say
13 many people attribute to Deming, the notion that
14 he said you can't manage what you can't measure,
15 which he actually didn't say. That one of things
16 he did say was one of the seven deadly sins, or
17 the seven deadly diseases of management is
18 running a company on abysmal figures alone.

19 And I think I like to, you know,
20 there's a statue outside of the National
21 Academies of Science of Einstein, and I think he
22 puts that well: it's just not everything that can
23 be counted counts and not everything that counts
24 can be measured. I think the goal is to try to
25 bring those together.

26 And that's why for me total cost of

1 care programs make so much sense, 'cause again, I
2 really do believe that doing the right thing for
3 the patient is in the long run, almost always the
4 lowest cost. And then you need to wrap things
5 around it to make sure again that the system is
6 one that is true and isn't gaming. So again, I
7 thank you for the opportunity to talk today. I'm
8 looking forward to the discussion.

9 CO-CHAIR HARDIN: Thank you so much,
10 Dr. Bulger. Really appreciate the focus on
11 simple clarity. I'm looking forward to hearing
12 your perspective from the diverse roles that
13 you've had.

14 So finally we have Dr. Eric Schneider,
15 who is the Executive Vice President of Quality
16 Measurement and Research at the National
17 Committee for Quality Assurance. And also, a
18 previous submitter of The "Medical Neighborhood"
19 Advanced Alternative Payment Model proposal.
20 Please go ahead, Dr. Schneider.

21 DR. SCHNEIDER: Great. Thank you very
22 much and thank you to the Committee for the
23 opportunity to be here today. It's really a
24 pleasure. And thank you, Dr. Wiler, for what I
25 think could be a really useful reference for this
26 current state of where we are. It actually is way

1 more detailed than anything I've seen. So it's
2 wonderful to have that resource available to us.

3 So I'm coming today, nearly 30 years
4 ago, I was a fellow at NCQA for one year and I
5 did a project with NCQA on the digital future of
6 performance measurement. So why were we doing
7 that in the 1990s when the internet was barely a
8 thing, the web browser had just been invented,
9 and cell phones were still flip phones?

10 It was because we realized right away
11 as we were starting to develop quality measures
12 based on health plan claims data as part of a
13 response to the HMO¹⁶ movement, that that was not
14 going to be a sustainable model.

15 That claims alone were not going to
16 get us the data we needed to accurately measure
17 quality in a way that would be useful to
18 providers providing care at the front lines. And
19 I speak as a primary care physician who practiced
20 and taught primary care for 25 years.

21 So we wrote a report at that time on
22 the digital future of performance measurement and
23 published a paper on the health information
24 framework and what were the seven features
25 needed. And I decided that that wasn't going to

16 Health maintenance organization

1 happen in my lifetime, because none of the
2 features that we really needed, health data
3 standards, health data infrastructure, really
4 existed, or I thought could exist in my lifetime.

5 The good news is I'm here to talk
6 about this still, and I think seven of those
7 features now do exist. So if we go to the next
8 slide, I will talk a little bit about NCQA and
9 its mission.

10 Our goal is to improve the quality of
11 care, we're nonprofit, and we do that through
12 measuring health outcomes, clinic quality, and
13 patient experience, promulgating standard
14 measures.

15 We accredit health plans in
16 Accountable Care Organizations, so increasingly,
17 and we recognize physician practices and some
18 specialized care models. And I would say our
19 model also is sort of needing an upgrade to reach
20 into the digital future. And I will say a little
21 bit more about that in a moment. So if we go to
22 the next slide.

23 The durability of HEDIS, the
24 Healthcare Effectiveness Data and Information
25 Set, I always trip on that because it used to be
26 the Health Employer Data and Information Set, but

1 it's Healthcare Effectiveness, is a set of 70-
2 plus measures that health insurance plans use to
3 measure and report on quality.

4 It's widely used, as you can see here.
5 203 million Americans are enrolled in populations
6 that report quality using HEDIS, so that's 60
7 percent of the population.

8 And it constitutes 70 percent of the
9 measures that CMS put into the Universal
10 Foundation when it looked across the agencies and
11 the programs and said, "What's a core set that's
12 really vital and important?"

13 And this speaks to John Bulger's point
14 about keep it simple, keep it focused; and
15 Cheryl's point about macro signals and how to
16 manage those. I think, interestingly, the
17 discussion about population-based total cost of
18 care goes back to what we were thinking about in
19 the HMO era, the 1990s, that the idea of a
20 population-based approach is that there's an
21 accountable entity that's responsible for a
22 membership or a population.

23 The way that population can get
24 defined differs across settings. But I think one
25 of the points we probably, or I hope the
26 Committee will focus on is sort of what is that,

1 what is the implication of that.

2 Because the origin of HEDIS actually
3 was in that sort of insight that a population-
4 based total cost of care approach actually
5 imposes a budgetary constraint that doesn't exist
6 usually in the system. And that creates downward
7 pressure on spending and the concern that quality
8 will be eroded as a result of that.

9 The theory we have is that by imposing
10 that financial risk, we actually motivate that's
11 tied to the clinical risk that exists, that
12 healthy populations will actually cost less. And
13 so we want to see an increasing health of the
14 population as a way of reducing the costs, and
15 this creates the right incentive, this mechanism
16 creates the incentive for that.

17 But the big worry, and I think we've
18 seen this play out to some degree, is that the
19 reverse occurs, that the financial risk becomes
20 the central focus, that the stinting on delivery
21 happens that people get excluded, members get
22 excluded from care, and we end up with worse
23 health outcomes.

24 And I say that because during my time
25 at the Commonwealth Fund prior to coming back to
26 NCQA two years ago, two facts sort of became very

1 apparent to me, especially comparing the U.S. to
2 other high-income countries. The first is that
3 there is strong evidence that our poor health
4 outcomes in the U.S. are tied to inequity in the
5 way we deliver care.

6 And the second is that the cost burden
7 on patients and families with the way we're
8 currently organizing our payment systems is
9 eroding trust among people, and that impedes
10 their timely access to care, and it results in
11 delayed diagnosis and worse outcomes than might
12 have been possible otherwise.

13 So that's a bit of a wind-up to a
14 question which I struggled with actually as I was
15 thinking about this presentation is: What should
16 we require as sort of a minimum entry criteria
17 for an organization that would take on
18 population-based total cost of care payments or a
19 payment model?

20 And I think two things, for sure,
21 there are probably a list, a longer list, but one
22 is this notion of impaneled or attributable
23 members. Not attributed to, but where the
24 organization really is responsible for the
25 population, whether they're in the office or not
26 in the office, so empanelment.

1 And then second is, and others have
2 spoken to this, the ability to integrate care
3 across the continuum, and I think you've
4 identified that as important. We currently still
5 have a system of primary care operating
6 independently of specialty care, operating
7 independently of behavioral health, operating
8 independently of the community-based
9 organizations who can provide for the health-
10 related social needs of the population. And that
11 really has been kind of vexing us for some time.
12 So if we go to the next slide.

13 So as I thought about that in that
14 perspective, I thought what are the most
15 important things that differentiate a population-
16 based total cost of care model from any other
17 approach that one might design in performance
18 measurement?

19 And these are pretty much in priority
20 order. If we really want to improve health
21 outcomes, equity probably has to be the first
22 consideration. I know in the model it's there,
23 but it's sort of one of the attributes, a
24 quality.

25 And I've been persuaded by the data
26 and the comparisons that I've been involved in,

1 that disparities and unmet social needs, reducing
2 disparities and addressing unmet social needs are
3 really vital if we want to make and see progress.

4 And a total cost of care model,
5 whether it's to an insurer, or to an ACO, or to
6 another organization that's bearing that risk,
7 really should be very laser-focused on those
8 objectives.

9 In HEDIS set, we have pioneered, I
10 guess, with first year experience of stratifying
11 the HEDIS measures by race, ethnicity, and we'll
12 be sharing more on that as the year goes by. We
13 also have introduced the social needs screening
14 and intervention measure, which I know CMS has a
15 similar version of.

16 But we really tried to emphasize the
17 intervention part of that, that it's not enough
18 to just screen, it's actually important to
19 intervene.

20 Closely related is the access to care,
21 and Helen mentioned the availability and
22 timeliness that's come up in other contexts, but
23 what does it mean to measure that type of access?

24 So access to specialty care is a particular
25 challenge right now. Some simple measures, they
26 seem simple, but we've been trying to implement

1 them for 20 years, and we can't because of our
2 data systems.

3 But following up after an abnormal
4 test result, which seems like one of the most
5 basic functions of a functioning health care
6 system, is still, we've had several runs at this
7 over 20 years at NCQA, and it continues to be
8 extremely challenging to implement.

9 Measuring delayed diagnosis is another
10 area that can get at the access issue. On
11 experience, so I'm going to experience because of
12 this trust, this erosion of trust problem.

13 And so, in a total cost of care model,
14 the consumer, the patient, the family is
15 extremely sensitive to the idea that rationing is
16 occurring under that model, so there need to be
17 measures to address that experience.

18 And in particular, something we've
19 never tackled well is the care of people with
20 multiple chronic conditions or complex care
21 needs. And we've been working for about a decade
22 on a person-centered outcomes measure, which a
23 different approach really.

24 We described in a paper we published
25 in 2014, I think it was, that you, there's the
26 guideline-based evidence-based care model, but

1 then there are the things that primary care
2 doctors are trying to do with their patients to
3 achieve goals, life goals that a patient may
4 have.

5 And it is possible to document those
6 goals. We've actually demonstrated that in some
7 of our pilot work. It's possible to document
8 those goals, to measure progress toward those
9 goals between clinicians and patients. And I
10 think that innovating to try different approaches
11 is going to be vital if we want to do total cost
12 of care type measures.

13 And then finally, in the clinical
14 effectiveness, we're really, I think, sort of on
15 the precipice of having the health data ecosystem
16 that I described earlier on that could support
17 better clinical data to support more meaningful
18 measures.

19 And the health data exchange standards
20 that the Office of National Coordinator has been
21 putting into regulation, the VHRs¹⁷, the info
22 blocking regulations that are sort of trying to
23 create an environment where data, health data
24 exchange can occur, and our ability to digitally
25 specify, deliver, and report measures is

17 Virtual health records

1 something that NCQA is actively working on right
2 now.

3 But again, I think it has to, and
4 actually, safety and reliability is another area
5 that we've just not done a good job measuring.
6 Again, that probably comes back to the idea of
7 following up after abnormal test results, the
8 kind of basic business processes that create safe
9 and reliable care.

10 We don't have great measures of that,
11 but again, the technologies, I think, are getting
12 there. So I guess I will conclude by saying that
13 we kind of thought we could fake it on claims
14 data for 30 years. We can't. I mean, we can get
15 only so far in a claims data environment.

16 We really do need to make investments
17 in the health data infrastructure that could
18 create the clinically relevant measures usable at
19 the front lines, usable by population health
20 managers, and could support many of the
21 objectives, I think, that you pointed out. I do
22 think we have some opportunity to innovate on
23 person-centered measures.

24 And then finally, I agree with other
25 panelists, and maybe we've all been agreeing
26 about everything, which we didn't coordinate

1 this, so that's kind of interesting that we've
2 come to many of the same conclusions that
3 measures that incentivize care coordination and
4 team care are really probably vital if we're
5 going to succeed going forward in the total cost
6 of care framework. Thank you.

7 CO-CHAIR HARDIN: Thank you so much,
8 Dr. Schneider. Your experience and background
9 also round us out in a very interesting way.
10 Really looking forward to the dialogue. So next
11 we're going to move to some to core questions.

12 And I just want to alert the
13 Committee, there will be a section for you to ask
14 the panelists questions as well, so start
15 thinking of those. If you do have a question
16 when we get to that section, please tilt your
17 nametag up so I am aware of that.

18 But first, we're going to start with
19 some core questions for the group. And in the
20 interest of ensuring balance across the different
21 perspectives and questions, I want to encourage
22 the panelists to keep your response to a few
23 minutes, and I will call on you in order to
24 answer each of the questions.

25 So our first core question is: What
26 are the main goals of performance measurement for

1 total cost of care organizations? For example,
2 to drive change through financial incentives, to
3 provide actionable information for providers, or
4 to inform beneficiary choices. Let's start with
5 Eric Schneider.

6 DR. SCHNEIDER: Sure. Well, I think I
7 touched on some of this in my opening remarks.
8 And I do think that coming back to the total cost
9 of care, this is a, this, we have imagined that
10 the same measure could be usable at various
11 different levels of the system.

12 And I think that in this context,
13 really focusing on the organization level. We
14 are frontloading a lot of this or offloading a
15 lot of the work and labor to produce this and
16 respond to it to individual clinicians or small
17 teams or small practices.

18 And that's just really not, in a
19 financial risk model, you can't put that risk on
20 the individual providers in the same way that you
21 can on a larger organization with a larger
22 population where they can manage both the
23 financial and the clinical risk.

24 What we're missing, I think, in that
25 context is the, again, the nuanced data necessary
26 to really understand the risk and the health

1 needs of the population beyond all the insights
2 that people can gain in a small practice or in
3 direct clinical care.

4 We see some amazing practices out
5 there, primary care practices, and Federally
6 Qualified Health Centers, and other organizations
7 that are doing tremendous work. But they're
8 operating without a kind of network around them
9 and network of support, so I think that's one of
10 the, I hope that was responsive to the question.

11 CO-CHAIR HARDIN: Excellent. Helen?

12 DR. BURSTIN: Yeah, I think we're
13 going to be all building on each other's
14 comments, so for sure, I agree with everything
15 Eric has said. I will just add that I think some
16 of this really comes down to, and Angelo and I
17 co-led a webinar recently with the state
18 innovation folks.

19 And it was really interesting about
20 this concept of what a provider is. This keeps
21 talking about providers, is really unclear, and
22 it's really different. And I think when you are
23 only talking about physician-based payment, I
24 want to broaden that.

25 Because I think the reality of
26 clinical practice, it's not all doctors, this is

1 really about clinical care. Health care
2 professionals' performance versus the providers'
3 performance, we're thinking about a system, are
4 often very different.

5 I think we really have to think
6 thoughtfully of these population-based total cost
7 of care models to really think about what
8 everyone's role is in that broader model. And
9 what is the responsibility of the clinical care
10 team, how do you integrate within the broader
11 vision of, for example, what you're reporting at
12 the system level back to a group.

13 So I think thinking about measures
14 then, that get at some of that clinical
15 effectiveness work that Eric mentioned at the
16 bottom of his list. Those still can be directly
17 relevant if we can get to really important,
18 clinically relevant measures.

19 For example, for oncology-
20 rheumatology, one of our members is developing a
21 measure looking at patients with RA¹⁸ and their
22 number of symptoms and joints affected, right,
23 very logical connect that to treatment. We've
24 got a grant program currently with some of our
25 registries developing diagnostic feedback

18 Rheumatoid arthritis

1 measurement.

2 Something really clinically relevant
3 would be incredibly important to a system because
4 it really crosses what needs to happen from a
5 patient's perspective in terms of access and
6 timeliness as well.

7 So I think very much thinking about
8 getting to measures that reflect, I think, you
9 know, what's listed out here in that question,
10 actionable performance. And then thinking about
11 at what level you are considering those.

12 Actionable performance for your
13 clinical care team may be very different than
14 actionable performance at the system level. And
15 I think we need to keep those connected but
16 separate, because I think the measures that drive
17 those may, in fact, be different.

18 CO-CHAIR HARDIN: So helpful. Cheryl,
19 let's go to you next.

20 DR. DAMBERG: All right. So Helen
21 just stole some of my thunder, because I agree
22 with her related to sort of actionable for whom,
23 and you know, what type of measure best serves
24 their ability to take action.

25 I think that the primary goal for
26 performance measurement, it's really to produce

1 information for driving change within a health
2 system, such that you can produce, you know,
3 better care for patients and help them achieve
4 better outcomes.

5 And you know, I think one of the
6 challenges that happens in this space is that
7 we're trying to do multiple things for multiple
8 stakeholders. And at the end of the day, while I
9 was one of the early parties to produce public
10 report cards on performance for consumers, I
11 think that space, you know, continues to remain
12 challenging, to produce the kind of information
13 that consumers can actually use to make choices.

14 Whether it's around choosing providers
15 who are lower-cost for the same type of service,
16 same quality of service or, you know, finding
17 organizations and providers who deliver better
18 care. I think that space continues to challenge
19 us.

20 But, you know, fundamentally, I think
21 what we're trying to do is we want all providers
22 in the space to be "A" level providers. And so I
23 see this as information to rise or to raise all
24 boats.

25 And you know, we use financial
26 incentives to try to, you know, garner the

1 attention of this system, but at the end of the
2 day, my objective for performance measurement
3 would be to help providers have information to
4 understand how they're doing, where they can
5 improve.

6 And then, you know, they, as a
7 community, can determine within the confines of
8 their constraints, their abilities, and the
9 resources they can bring to bear how to drive
10 improvements.

11 CO-CHAIR HARDIN: Thank you. And
12 John, let's go next to you.

13 DR. BULGER: It's a tough group to go
14 last in. I was actually writing down rising tide
15 lifts all boats, right when Cheryl said it so,
16 and I do think that's a good way to characterize
17 it. I will just break this up quickly. I think
18 from an accountability standpoint in total cost
19 of care organizations, we started to look at it
20 more from a gaining mechanism.

21 And again, this is an accountability,
22 and I think I would agree with what Eric said
23 from a, at the organizational level where you're
24 trying to look at measures.

25 Some of those processes that lead to
26 outcome, some of those outcomes, and I do think

1 this was said earlier by Cheryl, is you have a
2 kind of balanced portfolio of those measures.
3 But they're a gate, if you will, so they're, as I
4 said in the beginning, to protect the public and
5 make sure that you don't end up with gaming in
6 the system.

7 And then I think you have a secondary
8 piece which is, and I think everybody has already
9 talked about it and I think Helen said it well,
10 is where you are able to get the public
11 information to be able to make informed decisions
12 with the hope that if you set the program up
13 well, you have increased the quality across the
14 board. So that, you know, the baseline quality
15 we have within the system is better.

16 And then I think lastly, I think you
17 have measures that may or may not be reported.
18 But we have really good robust measures that the
19 organizations that are in these programs can use
20 to make themselves better.

21 Because that's really where we'd like,
22 or where I'd like the work to be being done. I'd
23 like people spending time on looking at their own
24 organizations and having measures and having
25 robust data sets that, as was noted, aren't just
26 claims measures, they're EHR measures, and

1 they're patient experience measures from surveys,
2 but they're also patient-reported outcome
3 measures, and you're able to loop those
4 altogether.

5 And I think the things we've been able
6 to do at Geisinger that are the coolest things we
7 would do is where we're the payer and where we're
8 the provider, and where we have all that data in
9 one database because it just gives you such a
10 richer look.

11 And again, to make yourself better,
12 not because you want to publish that or you want
13 to be accountable for that, but that's what we're
14 looking at every day to see how do we get better
15 for the people we serve?

16 CO-CHAIR HARDIN: That's very helpful.

17 Next we're going to go to another core question.
18 We want to hear about your approaches for
19 measuring performance-related to the objectives
20 of total cost of care models, and you've started
21 to dive into this already.

22 So the question is: What are the
23 basic types of performance measures that would be
24 most appropriate for measuring participating
25 organizations' performance relative to the
26 desired characteristics of total cost of care

1 models? Please provide examples of specific
2 performance measures that might be particularly
3 useful. Cheryl, let's start with you.

4 DR. DAMBERG: Okay. So it would be
5 performance-based total cost of care. I mean,
6 first and foremost, you're going to measure total
7 cost of care. And I know that there's been a
8 desire in the market to try to tamp down on low-
9 value care.

10 But I think again, it's this tension
11 between macro versus micro. I think it is
12 helpful to have some low-value care measures out
13 in the space. But I don't think that that is
14 necessarily what needs to be measured in the
15 context of a total cost of care model.

16 That starts to get into the drill down
17 space, so first and foremost, total cost of care.

18 But I think something that Eric touched on,
19 Helen touched on, this issue around access to
20 care, timeliness of care, and I would also add
21 denials of care.

22 You know, we see in many spaces,
23 particularly in say Medicare Advantage, you know,
24 a rising concern that people, you know, the
25 denial rate is quite high. And this is creating
26 a significant burden for physicians in delivering

1 care.

2 And again, there's this tension
3 between trying to reduce the spend, but also
4 we're creating a lot of friction points in the
5 system that may not add value, so I'm going to
6 expand that space.

7 I also think, you know, we need to
8 focus on patient experience. We learn a lot when
9 we talk to patients and learn about their care
10 experiences with how to change the system to be
11 more patient-centered and to deliver care that
12 not only meets some reasonable level of
13 expectation, but can help them manage complex
14 health care conditions.

15 Because you start to move in the
16 direction, as Eric noted, of building increased
17 trust and connection between patients and
18 providers to co-manage a health care condition.

19 And also to learn about what are some
20 of the underlying barriers to a patient being
21 able to succeed, whether there is socio-economic
22 barriers or other types of barriers. And then
23 lastly, you know, we have to keep doubling down
24 on our focus on equity.

25 CO-CHAIR HARDIN: Thank you. John,
26 let's go next to you.

1 DR. BULGER: Yeah, and I think it's a
2 great question and that's a great answer. I'd
3 like to come back to the, I do think the balanced
4 portfolio methodology is the best way to do this.
5 And then, I think, actually, you know, to
6 Cheryl's point, in the world we'd like to get to
7 around total cost of care measures, is if we got
8 where we wanted to be, you wouldn't need prior
9 authorization.

10 So that you would, 'cause there is a
11 huge amount of waste in the system to have to ask
12 to be able to do something. But you would create
13 a system because, so you would have total cost of
14 care at the top, and you would have these fail-
15 safes that we've talked about to make sure that
16 people weren't gaming the system, and then
17 providers would decide in conversation with their
18 patient about how to treat patients and whether a
19 test or treatment should be done as opposed to
20 needing to ask someone else.

21 And that the total cost of care model,
22 with its quality gates, would make sure that that
23 was happening all the time. I would like to say,
24 you know, in the, from specific examples, I think
25 looking at some of the kind of classic claims-
26 based measures of utilization per thousand, I

1 think does become important in some of these
2 models.

3 And again, not so much to, from an
4 accountability standpoint, but to help you manage
5 what you're doing. Because in a perfect world,
6 you'd like to see something where primary care
7 physician visits goes up and specialty visits,
8 potentially, goes up, but you have decreasing
9 emergency room visits and decreasing inpatient
10 visits per thousand.

11 And, you know, if you're running that,
12 if you're in that model, you may put programs
13 into place, like say, a care management measure
14 or some team-based program and be able to measure
15 against that and say does this get the
16 information that, or does this move those in the
17 direction we want them to move in?

18 So it's one of the things we've seen a
19 lot in many of the care management programs we've
20 put into place. And we've seen most specifically
21 recently in Pennsylvania Medicaid where we've
22 been able to push care to the patient's home and
23 away from the hospital.

24 And in the end, total cost of care
25 decreases because those hospital-based areas are
26 much more expensive as we know than the home-

1 based areas.

2 The last thing, just in this piece is
3 I think it's important too that we look at
4 standardizing the way the data goes into the
5 system. Because one of the issues I think we
6 have now is that different provider organizations
7 or providers themselves, bill in a different way
8 or we have different definitions of what's an
9 inpatient procedure or test or treatment versus
10 what's an outpatient.

11 And when you're trying to compare
12 providers to providers, it becomes difficult many
13 times to compare provider A to provider B or
14 understand what provider A is doing versus
15 provider B, because we have the definitions of
16 how the inputs come in. And in many ways that's
17 the way we bill, and fee-for-service can be very
18 different from provider to provider.

19 CO-CHAIR HARDIN: Thank you so much,
20 John. Eric, what would you add?

21 DR. SCHNEIDER: I think the one thing
22 I would add, two things. I guess, one is that we
23 do have measures available, they are not perfect,
24 they need, we need better data again.

25 And control of some very common health
26 threats, so diabetes control, control of high

1 blood pressure, depression screening, really
2 focusing in on some of those. And maybe this
3 reflects that I sat in a school with public
4 health for many, many years.

5 But when you look at the ability of
6 our public health system to measure those
7 important outcomes, and you say maybe we ought to
8 re-architect some of what we do in the clinical
9 care delivery system, integrate that better with
10 the public health system and figure out ways of
11 sampling so that we can understand what's
12 happening with populations on some very really
13 important markers of health and that can reduce
14 mortality and morbidity over time. Maternal
15 outcomes is another area that I think that we
16 want to focus.

17 So that's one point, and that would,
18 we'd have to consider re-imagining how we would
19 actually deploy that measurement system, because
20 I don't think our current health data exchange
21 infrastructure could support it, but we could
22 design it.

23 The second point, this is just
24 elaborating on John's point, is that the mix of
25 services, that understanding the relative spend,
26 and you've, total cost of care you can measure,

1 there are some challenges even around that.

2 But really understanding the mix of
3 the services provided, what's going to primary
4 care, what's going to emergency services, what's
5 going to inpatient services, specialty services.

6 Because managing two organizations
7 could have the same total cost of care and
8 without knowing the outcomes, one of them could
9 be doing a tremendous job, and the other could be
10 doing a terrible job in terms of the mix of
11 services offered with like excess of emergency
12 room use. And we also know, I guess, in that
13 context, we have to be careful not to be too
14 optimistic that it can take two to three years to
15 see these shifts.

16 So some of the return on investment
17 conversations sort of say, well, what savings can
18 you produce for me next year or within three
19 years? And re-orienting the system and the mix
20 of services, it's difficult to see that having
21 the kind of impact on these health outcomes that
22 would be within a two-to-three-year timeframe, we
23 really should have a longer time horizon.

24 And I think this is one of the flaws
25 of many of the studies of payment system models
26 in general, is they didn't really allow enough

1 time for the interventions to have their effect.

2 CO-CHAIR HARDIN: It's a key point.
3 Helen?

4 DR. BURSTIN: John's right, it's
5 really hard going fourth, much of what I want to
6 say has been said. But I'll just say, I want to
7 emphasize something that goes back to one of the
8 slides from the presentation earlier, which was
9 the issues around unintended consequences.

10 Total cost of care has a number of
11 intended consequences we already heard about.
12 John's point about gated quality measures, really
13 thinking about knowing, looking at costs.

14 If you've achieved a certain level of
15 quality, it's really important, huge concerns
16 about stinting of patient care that I think are,
17 keep a lot of clinicians up at night about who's
18 not able to get care and really want to emphasize
19 the point that Cheryl mentioned about care being
20 denied.

21 I think that if you have stinting,
22 care denials, prior authorization continues just
23 to be a huge burden, minimal relief so far from
24 Congress, but huge burden on clinicians in really
25 thinking about how we can really think about
26 this.

1 I would hope within a context of a
2 system, are there ways, for example in a
3 population-based total cost of care model, to do
4 something differently internally that might take
5 some of that pressure off of your clinicians who
6 are having to fight that constant battle?

7 Lastly, I just want to make the point
8 that I agree that total cost of care is often at
9 the system level, but I think as you think about
10 the physicians and the other clinicians within
11 your system, thinking about how that relates to
12 them is still really important.

13 It's hard to feel like you are part of
14 that actionable process, if in fact, it's
15 measured at such a high level that you can't see
16 what you do within that.

17 So kind of breaking that down and
18 thinking about are there measures, for example,
19 that look at shared decision-making or
20 appropriateness that get us closer towards
21 looking at ways that an individual clinician can
22 play a role in total cost of care?

23 And then, want to just emphasize
24 something I put in my slide at the start which is
25 that so much of this really does happen now
26 across clinicians, across, you know, across

1 settings.

2 And so really thinking about how you
3 fit within a system that might, for example,
4 include post-acute care or home care. And this
5 just very broader vision of what a system is and
6 what's population-based care and sort of being
7 able to reflect how your quality fits within
8 that, I think is going to be critical, which is
9 why I think we still need those clinical quality
10 effectiveness measures to allow different
11 specialties to see where they fit within that
12 paradigm. Thanks.

13 CO-CHAIR HARDIN: Integration is key.
14 I'm going to ask one other core question, and
15 then open it up to the Committee and give you a
16 chance.

17 So for the next question, we want to
18 hear about the differences between performance
19 measures for total cost of care models and
20 current performance measures in Medicare value-
21 based payment programs and alternative payment
22 models.

23 So the question is: What are the
24 differences between performance measures needed
25 for population-based total cost of care models
26 and current performance measures used in Medicare

1 value-based payment programs and other
2 Alternative Payment Models?

3 John, let's have you start this one.

4 DR. BULGER: Sorry, trying to get to
5 the mute button.

6 CO-CHAIR HARDIN: No worries.

7 DR. BULGER: Great question. And I
8 want to play off of something Eric said. And I
9 think one of the biggest differences is I think
10 if you're truly in total cost of care models, and
11 I agree completely with what Cheryl talked about,
12 is that, you know, moving at a snail's pace into
13 these models isn't helping anybody.

14 It's certainly not helping patients,
15 but I don't think it's helping providers, because
16 it's allowing some of them to keep their heads in
17 the sand, and others that want to move more
18 quickly aren't able to move more quickly.

19 But these measures, the measures in
20 total cost of care models really are long game.
21 And I think right now, somewhat out of necessity,
22 and given where we are, but somewhat out of quite
23 frankly, I think, just being able to get past of
24 the current state and think about a potential
25 future state, we're not able to do long game
26 models.

1 And you have, I think, a good example
2 is the Medicare Shared Savings program, where you
3 have very immediate year-over-year measures and
4 the process by which those measures are
5 calculated, and sometimes what those measures
6 are, change on an annual basis.

7 And this total cost of care,
8 population-based total cost of care models aren't
9 short game models, they're long game models.
10 They are things that are going to take, you know,
11 three, five, or more years to see things happen.

12 So you need measures that recognize
13 that, while also kind of having measures
14 underneath that to be able to make sure people
15 are moving in the right direction. You kind of
16 need leading and lagging indicators, if you will.

17 And I think, you know, that piece is a
18 piece that we really need to think about, because
19 we haven't been able to, I think, in the
20 measurement community.

21 And then I think providers haven't
22 been able to get past it. It's a little bit of a,
23 you know, the hamster wheel of over and over with
24 the same measures over and over, and you're
25 thinking, you know, month-to-month, year-to-year,
26 as opposed to thinking over time, you know, how

1 are we going to change the health of the
2 population in I think really, a public health
3 way. So to me, that would be the thing I would
4 highlight around, what is the big difference.

5 CO-CHAIR HARDIN: Thank you. Eric,
6 let's hear from you.

7 DR. SCHNEIDER: Yeah, I think there
8 are a couple things that are difficult in the
9 current model. The risk adjustment issue is the
10 one that's probably kind of front and center for
11 a lot of folks right now.

12 But I do think we really do need a, in
13 a population-based total cost of care model,
14 you've got to be able to assess risk for the
15 population, and to do that well. The Netherlands
16 has a system actually of re-allocating funds
17 among the insurers based on the risk of their
18 populations in any given year.

19 So that the notion there is to reduce
20 the risk gaming that can go on of trying to push
21 people out of the model or bring healthier people
22 into the model, push sicker out of the model.

23 And so another solution there is you can do
24 the cross-sectional approach, or another solution
25 is to be able to follow the people through their
26 journey and understand whether that risk

1 selection is occurring or not between
2 organizations.

3 So if someone dis-enrolls from the
4 population-based total cost of care, if a person
5 dis-enrolls and moves to another care setting,
6 whatever that may be, maybe they become homeless,
7 that you know that, that the system knows that,
8 the system can account for that.

9 Because right now, there's still, and
10 in any risk-based payment model, there's going to
11 be this natural tendency, it's a lot easier to
12 sort of cherry-pick your way to a lower-cost
13 model. So I think that, I might just sort of
14 emphasize that piece, which we hadn't really
15 talked about much.

16 CO-CHAIR HARDIN: Thank you. Helen?

17 DR. BURSTIN: Great, thank you so
18 much. So a few reflections. I think that very
19 much the idea of thinking about how we have
20 measurement at the team level, I think, is an
21 important consideration.

22 Those may not look like the same kind
23 of measures we have now. We talked about a lot
24 of these early on, measures that more reflect
25 collaborations, communication, access,
26 communication across specialties, across the

1 system, I think are going to be critical.

2 I also think it's really important
3 that we consider the ways that care has innovated
4 and make sure that the measures go along with
5 that. So for example, very few measures really
6 reflect telehealth. We have a really hard time
7 collecting data on what happens in a telehealth
8 encounter to know whether it was in fact high-
9 quality.

10 Hospital at Home, there are just
11 numerous examples like that where we have to
12 start thinking about where as carers in the
13 vetting, where are the ability to look at those
14 kinds of measurements and make sure that we're
15 appropriately capturing those.

16 I think it's also really important
17 that we think about how we get to some of the
18 outcomes that matter. I think, just an example
19 here, I think many of these, for example,
20 critical outcome measures are often reflected in
21 clinical registries, often still very difficult
22 for those measures to be used as part of these
23 processes.

24 One of my favorite expressions is that
25 data travels at the speed of trust. I think
26 there's not a lot of trust right now in the

1 overall system of how those data will be used.

2 Will they be used inappropriately, and
3 how do we create those sort of principles and
4 guardrails that allow us to collectively work
5 together to build on measures already developed
6 for and by clinicians that represent what they
7 would consider the highest important outcome
8 measures that they want to be and will get their
9 benchmark data back on, because it then allows
10 them to reflect on how they can get better?

11 We have numerous examples of that,
12 where not only do you get benchmarking, but if
13 you don't do well on one of those performance
14 measures, you then get linked to your specialty
15 society's CME¹⁹ to say you didn't do very well on
16 this, how are you going to improve?

17 So I think, thinking, I think broadly
18 about how we bring in those registry-based
19 measures which also get at some of that intrinsic
20 motivation, it's not all about money. Some of
21 this is also the intrinsic motivation of showing
22 people their data compared to their peers, and
23 understanding where they fall short, I think are
24 huge opportunities overall.

25 And again, I think just broadly

19 Continuing medical education

1 thinking that many of the quality measures we
2 have now are the same ones we've talked about for
3 years. We've been talking about blood pressure
4 control, diabetes control, et cetera, for years
5 and years.

6 Not that we've done especially better
7 on them, I wish we did, but I think we do need a
8 broader lens on what happens in care, and I think
9 that's a place where particularly Specialty
10 Societies can really help think about how that
11 comes together. Thanks.

12 CO-CHAIR HARDIN: Really interesting.
13 Cheryl, what would you add?

14 DR. DAMBERG: I'm going to plus-one on
15 what my co-panelists have said. But you know, as
16 I was thinking about this question, I don't know
17 that the performance measures differ, but I think
18 kind of what gets done with the information and
19 how the information is used.

20 So I thought a little bit about what
21 John was saying about the long game and this
22 issue of knowing you're responsible for a
23 population because I think to some extent what's
24 happening in a number of Medicare's performance
25 measurement and value programs is, you know, it's
26 essentially the unit of accountability is the

1 individual.

2 The individual hospital, the
3 individual provider, such as in MIPS. And while
4 it's important to measure their performance, it
5 doesn't always feel to me that the information
6 then is used kind of by the organization in a
7 collective sense to drive system improvement.

8 And I think the difference between
9 these population-based total cost of care, you
10 know, accountability-type structures, is that you
11 start moving away from a siloed approach to
12 something that looks more like an organization
13 can respond and think about how to transform care
14 delivery.

15 And you know, it's not clear to me,
16 say in the MIPS program, that physicians are
17 actually looking at their performance and doing
18 much that's any different.

19 Particularly since they can self-
20 select the measures and, you know, that provides
21 its own opportunity for gaming. And so I feel
22 like in many ways we have a bifurcated world
23 where you have now roughly 50 percent of
24 physicians who are employed by health systems or
25 hospital systems.

26 You know, they're part of large

1 organizations that can bring different types of
2 resources and organizational supports to the
3 table to help improve performance.

4 And then you've got, you know, what
5 remains of the small practices, and, you know, a
6 lot of those can be in rural environments. And,
7 you know, again, what's their ability to be able
8 to respond to a set of metrics, you know, even if
9 they know their performance.

10 So, at the end of the day, I don't
11 know that we want sort of different measures for
12 different spaces, but I think the ability of the
13 end user, if you will, to be able to act on them
14 and make change differs tremendously between
15 those environments.

16 CO-CHAIR HARDIN: So helpful. So
17 Committee members, I want to encourage you to tip
18 your name tent up if you have a question. This
19 is a great opportunity to tap into the expertise
20 of the panelists. And Larry, let's go to you
21 first.

22 DR. KOSINSKI: I have so many
23 questions, but I -- my first one's going to be
24 for Cheryl because you really triggered a thought
25 process with me with your first bullet.

26 And I have to say, I totally agree

1 with you that the core objective is not just to
2 measure performance, but to move health systems
3 towards building a measurement infrastructure on
4 their own. So what does CMS do?

5 What do commercial health plans do
6 with their ACO agreements? How prescriptive do
7 they get inside these organizations to help them
8 build this infrastructure?

9 When an airplane door flies off a 737,
10 the FAA shuts down every 737 until it's been
11 corrected. Whereas when something happens inside
12 of an ACO, be it Medicare ACO or a commercial
13 ACO, that doesn't happen.

14 Where's the border between building
15 that infrastructure and being overly
16 prescriptive? How would you implement this first
17 bullet you gave us?

18 DR. DAMBERG: So I think the
19 government is loath to be over-prescriptive, and
20 I think that's always kind of a difficult space
21 for them to operate in.

22 I guess my observation of having
23 watched the world unfold over the past two
24 decades is that a lot of the performance
25 measurement that commercial payers and government
26 payers, whether it's Medicaid or Medicare, have

1 put out there, have led health systems to invest
2 in building their own measurement infrastructure.

3 Some are farther along than others.
4 Some have the resources. So, you know, these
5 physicians who are in small practices, you know,
6 have, you know, not been able to build that kind
7 of infrastructure.

8 But, you know, for many of them very
9 large systems, and John, you can speak to what
10 Geisinger is doing. You know, they've built very
11 robust dashboards, and they're measuring not just
12 primary care, but you know, have delved into the
13 specialty care space.

14 Although I would say, you know, when I
15 looked at the types of measures that they're
16 measuring internally and incentivizing their
17 providers on, the specialty care space is usually
18 the weakest link.

19 And I think to Helen's earlier point,
20 you know, we need to sort of do better in, you
21 know, working with the specialty physicians to
22 build a set of measures, and you know, build out
23 that dashboard because I think that really is the
24 weakest link that I see right now in what I would
25 call the internal infrastructure and performance
26 dashboard of health systems.

1 DR. KOSINSKI: So you would favor
2 incentives or a payment structure to a health
3 system based upon evidence that they're building
4 that infrastructure?

5 DR. DAMBERG: No, I think if you put
6 the performance measures out there, so let's say
7 we had a better set of, you know, specialty
8 measures, I think the systems will build their
9 own internal systems around that because you
10 know, they are interested in securing the
11 financial incentive, right?

12 And so they need to be able to measure
13 and track that in real time to figure out whether
14 they're going to land and whether they need to
15 pivot, you know, to try to improve their
16 performance.

17 So you know, my own personal view is I
18 don't think you need to be overly prescriptive.
19 I think you need to send the right directional
20 signals.

21 DR. KOSINSKI: Okay.

22 CO-CHAIR HARDIN: Eric, I see you're
23 comment.

24 DR. SCHNEIDER: Yeah, I couldn't help
25 digging in on this just a little because the
26 health data exchange part of this is so central,

1 and in some ways we've done ourselves a favor by
2 not getting this right until now.

3 But because the health technologies
4 have advanced to where it could be extremely low-
5 cost, and the government is already doing what I
6 think would support what you're describing, which
7 is to create the health data standards, the
8 exchange capabilities, the same thing that powers
9 the rest of the internet, same thing that allows
10 any browser to attach to the internet.

11 That technology can now be brought
12 into health care safely with bright privacy
13 protections and other considerations. And so I
14 think it actually ends up being industry-led
15 rather than the government sort of creating a
16 mandate.

17 It's more creating the conditions
18 under which everyone is doing their work and
19 supporting that. So TEFCA²⁰ is a Trusted Exchange
20 Framework mechanism that's just getting stood up.

21 There are six organizations
22 participating in the TEFCA Exchange Network. The
23 health data standards are advancing sort of year
24 by year.

25 And a lot of what seemed like it was

20 Trusted Exchange Framework and Common Agreement

1 going to have to be like people investing or
2 individual organizations investing in systems now
3 is looking like, just get your system mapped so
4 it can participate in the health data exchange
5 through fasting the health care interoperability
6 resources and using computing power, which didn't
7 exist.

8 Cloud computing power, those standards
9 all are five to 10 years old at most. So there's
10 some technological changes that as we're thinking
11 about this design, we actually want to build
12 toward that.

13 If we try to build for today's system,
14 we're going to miss that boat, and that's driving
15 a lot of our strategy at NCQA as well.

16 CO-CHAIR HARDIN: Go ahead, Helen.

17 DR. BURSTIN: And just one very brief
18 comment that very much builds on what Eric has
19 said as well. I think if you want to build out,
20 if you want to ensure systems all have the right
21 approach to developing their qualities, reporting
22 systems internally, the dashboards that Larry
23 asked the question about, really important as
24 part of that you also measure the burden on the
25 clinical teams.

26 They may say they have a system and it

1 works great, but the burden can all roll down to
2 the clinical teams to in fact be collecting those
3 data because a lot of what you're talking about,
4 Eric, is wonderful.

5 And it's still, you know, the future
6 is coming and still is, right? We're still
7 waiting for that to sort of happen at the point
8 of systems being able to use those systems.

9 But I think, you know, being able to
10 pair, for example, measures that look at staff
11 turnover or burnout within a health system to
12 these dashboards would also be appropriate.

13 There's nothing that says it has --
14 it's always about patient care. It can also be
15 about the health of the clinician community, and
16 the costs of turnover are tremendous in health
17 systems.

18 And so really thinking broadly about
19 what you might measure to get that full
20 perspective, I think is an important
21 consideration.

22 CO-CHAIR HARDIN: Excellent. Let's go
23 next to Jen.

24 DR. WILER: Actually, that was a great
25 segue to the question I'm going to ask, which
26 I'll just say might be an unfair one. If you had

1 a magic wand, we've heard in the past around the
2 use of mandatory fill in the blank.

3 So my question is going to be, if you
4 had a magic wand, what things might you make
5 mandatory? And to get you thinking, the things
6 we've heard in the past are multi-payer programs.

7 I heard maybe mandatory empanelment or
8 participation in a program, maybe a mandatory
9 measure set, maybe mandatory removal of prior
10 authorization, maybe mandatory creation of
11 specialty care dashboards, maybe
12 interoperability, maybe turnover.

13 I want to give you the opportunity to
14 talk about what things you think are so
15 important, again, not thinking about the fill in
16 the blank regulatory, political, cultural
17 headwinds, but what might be essential mandatory
18 elements for success. I think that was obvious,
19 but.

20 DR. SCHNEIDER: I'll take a first
21 crack at it. I actually think the multi-payer
22 participation arrangements would be valuable
23 because there is so much movement throughout the
24 system of people and providers.

25 If anything some of the mandates

1 already exist around ONC²¹ and health data
2 exchange. The information blocking rule sort of
3 says that you can't -- you have to make the data
4 about a patient available in electronic form
5 through open API²² architecture.

6 The same thing that powers the
7 internet. Everything else we do that
8 organizations' electronic health records have to
9 create that capability.

10 And then to the extent that EHR
11 vendors, of which there are still many, but a few
12 dominant ones implement that, then it becomes
13 easy to participate in that sort of multi-payer
14 environment because there are also standards for
15 health insurers around the care and blue button
16 standard, which enables that same exchange.

17 So if I had -- if I were going to --
18 if I had to pick one, I would pick the multi-
19 payer participation and through the Trusted
20 Exchange Framework or some other mechanism that
21 creates this liquidity of data that would support
22 not just performance measurement, but a whole
23 bunch of other administrative simplification and
24 burden reduction.

25 _____ CO-CHAIR HARDIN: Helen, did you want

21 Office of the National Coordinator for Health Information
Technology

22 Application programming interface

1 to go next?

2 DR. BURSTIN: Sure. That's a really
3 hard question and hard to have a simple response
4 to it. I guess I'm a lumper by nature, so I'll
5 say mandatory is anything that increases
6 relevancy and decreases burden.

7 And so I think the ways to do that are
8 multiple, but I think in particular, thinking
9 about ways to get at consistency of measures with
10 decreased burden and higher relevancy, I think it
11 should be -- that should just be where we go
12 because otherwise people are just spinning their
13 wheels, creating measures that don't in fact
14 serve any useful purpose.

15 So eliminate measures that don't add
16 value, focus on the ones that do, and figure out
17 how to do them in a way that's easier and more
18 consistent. And I'm sorry, that wasn't one
19 thing.

20 CO-CHAIR HARDIN: And Cheryl, I'm
21 going to go to you next.

22 DR. DAMBERG: I agree. This is a hard
23 question, and I think I'm at a plus-one what Eric
24 said in terms of multi-payer participation, not
25 just in terms of trying to align what providers
26 are being asked to pay attention to and devote

1 resources to.

2 But also, you know, the
3 interoperability and exchange of information that
4 can work to coordinate care across settings and
5 enhance care delivery and hopefully potentially
6 reduce low-value care.

7 You know, in terms of other mandatory
8 type features, you know, I think what I've
9 struggled with, and I'm sure that this group has
10 as well, is, you know, CMS covers, you know, a
11 very large complex, you know, array of health
12 care settings.

13 And, you know, they're trying to send
14 signals to providers in multiple settings. And I
15 think we historically have done a poor job of
16 identifying good care coordination measures and
17 measuring that across our system.

18 And I think, you know, what I would
19 like to see, and I don't know how you mandate
20 this, but I think we have to again, you know,
21 rethink sort of the ongoing siloed approach to
22 the performance measurement that's happening
23 across all these different settings, not just in
24 Medicare, but you know, in the commercial space
25 as well.

26 So, I know that there's not sort of a

1 hard there there, but, you know, I think care
2 coordination is something that needs to be front
3 and center and kind of a core mandate because
4 people touch so many different settings,
5 especially as they get older and they need more
6 complex care services.

7 CO-CHAIR HARDIN: Thank you. And
8 John, would you like to add?

9 DR. BULGER: Sure, yeah. It is a
10 tough question, and I think the other I think it
11 was as Helen noted the simplification piece. And
12 I think this notion of getting everybody involved
13 and in a multi-payer fashion, I think I would
14 agree with both those.

15 I would say if I had to do something
16 mandatory, it would be a mandatory glide path
17 toward total cost of care models because I really
18 think part of why we are where we are is because
19 of the fee-for-service system.

20 And we really have a fee-for-service
21 payment system for services, and we have a fee-
22 for-service, pay-for-performance model for
23 quality payment.

24 And I think many times providers look
25 at both of them as widget models, if you will.
26 And I think, you know, we're not making widgets,

1 we're taking care of patients.

2 And I think that those models are much
3 of what's holding us back. I think if we could
4 get a guide path, as I said, to where we're going
5 to go and not -- and agree to it, stack hands on
6 it and say, we're not going to go back on that.

7 So I think, you know, the classic go
8 back was we had a glide path with mandatory
9 bundles several years ago, and that stopped, and
10 that I think set us back years in how we take
11 care of patients, but also set us back years in
12 those areas about how we were looking at quality
13 assessment.

14 Because I just think of what we were
15 doing when we knew those mandatory bundles were
16 going to happen and talking about it from a
17 quality assessment standpoint, and much of that
18 went out the door when those went away.

19 And I just hand that -- I take a --
20 I've taken a very different view of the way I
21 look at things, whether -- when I'm wearing a
22 health plan hat, when I'm have a total cost of
23 care responsibility for a population versus maybe
24 when I was Chief Quality Officer and was looking
25 at a program, and not to pick on programs, but a
26 value-based purchasing for the hospitals.

1 And it was a very transactional look
2 at the value-based payer program. Whereas the
3 total cost of care program on the health plan
4 side is really looking at, you know, how do I get
5 the -- what's the quality, you know, from my
6 members, but what subsets of measures do I need
7 to be able to drive that quality and to get
8 people?

9 I think Helen said it great earlier to
10 get people to trust and work together for a
11 common goal.

12 CO-CHAIR HARDIN: That's great. I'm
13 going to add a follow-on question that was
14 submitted. So it taps into what you've already
15 been talking about.

16 So this question focuses on measuring
17 aspects of system transformation. For example,
18 care coordination and team-based care. So what
19 should be the mixture of quality, outcome,
20 patient experience, process, and utilization or
21 cost measures for actually measuring system
22 transformation?

23 Or should it be the same? That's the
24 question submitted. Who would like to start?

25 DR. SCHNEIDER: I'm feeling bold
26 today. I'll go. I do think it probably switches

1 the focus more toward the outcomes and equity and
2 other system level kind of outcomes.

3 And the reason I worry about that is
4 there's also a lot of care model innovation going
5 on right now. Some of it won't persist, but
6 there are companies getting into lifestyle
7 coaching and management support that are still
8 operating independently of the health care
9 system.

10 In some ways they don't integrate
11 well. When they try to integrate, what they can
12 do is provide a PDF to people that they can bring
13 to their doctor, which isn't kind of a workable.

14 So, it's an interim solution, but it's
15 not what we'd like to see ideally. But I think
16 Helen made the point earlier about the
17 telemedicine and the virtual care models in
18 behavioral health.

19 It's almost a mandatory sort of not
20 mandatory, but it's a clear path that we have to
21 go toward to meet the needs of the population.
22 And then the innovation around who's providing
23 the care, community health workers, peer
24 counselors.

25 If we over index on process measures,
26 we kind of start to impede that innovation, which

1 could create a better team-based care
2 environment, better care coordination if we
3 enable it.

4 So we don't want to sort of get in the
5 way of it with by over-engineering process
6 measures.

7 CO-CHAIR HARDIN: That's great.
8 Helen?

9 DR. BULGER: Yeah.

10 DR. BURSTIN: Generally agree with
11 what Eric pointed out, particularly around equity
12 and thinking about care coordination as measures
13 that are really important, obviously at the total
14 cost of care level for system transformation.

15 I'll just emphasize what I said
16 earlier. I also think, you know, a critical part
17 of health system transformation is actually
18 ensuring that we take good care of our
19 clinicians.

20 So let's not forget about that and the
21 burden that this all places on them and figure
22 out how to do this in a way that both is not a
23 huge burden to them.

24 But at the same time provide
25 information back to them measurement-wise that
26 sort of allows them to build on their intrinsic

1 motivation to take better care of patients
2 because it's relevant and actionable. Thanks.

3 CO-CHAIR HARDIN: And John, it looked
4 like you were trying to comment. Am I reading
5 you correctly through the Zoom?

6 DR. BURSTIN: Yeah, I think, I mean, I
7 think it's a great question. To me, it's the
8 same as other things, but what I would say is
9 that in the total cost of care model I don't
10 think that you are necessarily going to -- you're
11 going to report on that transformation if you
12 choose to report on it because you are reporting
13 the research or you're reporting to make the
14 world a better place.

15 But it, you know, those -- there
16 shouldn't be accountability measures in my mind
17 that get reported for those transformation. Now,
18 I think, you know, using the example of what
19 we've done at Geisinger at home, at Geisinger,
20 which is an at-home model where we take care of
21 the sickest of the sick at home.

22 We do that because it makes sense in
23 the total cost of care model. And we probably
24 wouldn't have done that if it was a fee-for-
25 service-based model because it wouldn't have made
26 sense just to bill per service for that.

1 But if you're in a total cost of care
2 model, it makes complete sense because it does a
3 better job taking care of the patient and thus
4 decreases the total cost of care.

5 But we measure all kinds of things to
6 see how that model is working to make sure that
7 it is actually decreasing total cost of care
8 because you could get in the trap of providing a
9 very expensive care set, and it actually costs
10 more than the care, and you essentially end up
11 wasting that resource.

12 And the other piece in the health care
13 system, we have finite resources, whether it's
14 physician resources or nursing resources or all
15 other pieces of the puzzle for resources.

16 So we need to measure to make sure
17 that for each time we're providing that care,
18 we're actually doing something. And I think
19 lastly, I would say you need to look at equity,
20 and you need to look at the patient's experience
21 with it.

22 Because in that program, for example,
23 if you -- if they're going to let you into their
24 home, they need to have a great experience, or
25 the next person they're not going to let you into
26 their home, and you're not going to be able to

1 get them the care they need.

2 So I do think there's -- it's that
3 broad portfolio of measures, but it's not
4 mandated by someone else. Just the general, the
5 model, because you're now in a total cost of care
6 model. It will end up creating innovation around
7 the measurement and how you look at those
8 programs.

9 CO-CHAIR HARDIN: Thank you. We have
10 only five minutes left. Walter, is it a
11 lightning round question we can go to?

12 DR. LIN: Yes, it is. I'll try and
13 make it quick. Just wanted to thank all
14 panelists for a really rich and informative
15 discussion. I've learned a lot.

16 I wanted to just maybe end on this
17 concept of a balanced portfolio of measures that
18 John brought up. You know, I think all of our
19 panelists, it's probably safe to say in terms of
20 measures under total cost of care models.

21 First among equals would be the total
22 cost of care. We also talked a bit about
23 stinting, rationing, patient sensitivities to
24 that. What other kind of guard rail measures or
25 balanced portfolio measures would our panelists
26 include in kind of the top level most important

1 measures to achieve that balanced portfolio of
2 measures?

3 CO-CHAIR HARDIN: Eric?

4 DR. SCHNEIDER: I guess I would go
5 back to the safety reliability conversation
6 because I think in the hospital setting, we've
7 come up with better measures of safety and
8 reliability, but I don't think we've done that as
9 well in the broader ambulatory setting
10 population, you know, care at home.

11 I, you know, the care at home actually
12 is an interesting example because there are --
13 anyone who tries to operate a Hospital at Home
14 program is going to definitely build in those
15 safety measures as part of the endeavor.

16 So it really does go back to Dr.
17 Bulger's point about you'll actually build the
18 measures you need, and safety and reliability
19 would be one I would add.

20 I also want to second Helen's comment
21 about the staff and workforce in making sure that
22 they're feeling that the care is safe and
23 reliable.

24 DR. BURSTIN: Just building what Eric
25 said, the first thing I wrote down was patient
26 harm. So I think there's like a little mind meld

1 happening here on this side of the table.

2 I think patient harm has got to be
3 there. We've got to really always keep in mind
4 as much as we're saying we're improving outcomes;
5 we still have significant safety issues in this
6 nation.

7 And so thinking about issues, a
8 balanced portfolio should include measures around
9 harm. I think it should include measures around
10 equity coordination.

11 And I think since so much of the
12 discussion is about thinking about, for example,
13 a specialty portfolio, for example, I think
14 getting at measures of appropriateness both in
15 terms of eliminating low-value care.

16 But at the same time ensuring high-
17 value care is provided and that we're not having
18 stinting, and we're not having difficulties with
19 access because of prior authorization health
20 plans. Thanks.

21 CO-CHAIR HARDIN: And Cheryl?

22 DR. DAMBERG: Yeah. So I would plus-
23 one what's been said. I think it's particularly
24 important to look at access issues. You know,
25 whether that's in the form of denials or just,
26 you know, wait times.

1 You know, I've had a recent personal
2 experience of being referred to a specialist and
3 not being able to make an appointment for a year.
4 And I have very good insurance, and you know, so
5 there are a lot of complexities in play in the
6 marketplace right now.

7 And you know, one of the types of
8 measures, you know, when you think about care
9 transformation, I think Eric touched on this
10 earlier about, you know, where are the dollars
11 going? And is this sufficient, you know, revenue
12 going toward primary care?

13 And I think, you know, and Helen
14 touched on the burnout issue. You know, I think
15 that, you know, we have greater demand than we
16 have providers to provide the set of services.

17 And so, you know, then noodling on, do
18 we start to introduce some other structural type
19 measures looking at, you know, the physician
20 supply or the clinician supply that's available
21 to care for patients within the system?

22 And, you know, you can look, and I've
23 started to do some of this work on the Medicaid
24 side at, you know, the adequacy of provider
25 networks.

26 And oftentimes, you know, patients who

1 most need care are living in what I would call
2 ambulatory care deserts. And so I think this
3 whole issue around access is going to be
4 paramount within the context of total cost of
5 care models

6 CO-CHAIR HARDIN: And John, would you
7 like to add in as well?

8 DR. BULGER: Sure. I think, and I
9 agree with all of that. I think it's all well
10 laid out. I think I'd just add at the end here
11 that I think some measurement of churn, so some
12 understanding of the ins and outs within the
13 covered lives or the, you know, the panels or
14 however you want to look at it, that takes into
15 account obviously death and other things that
16 would not necessarily be in control.

17 But the notion of are you creating a
18 system that you're trying to gain by moving
19 people in and out and trying to cherry pick, or
20 have you created a system that patients can't get
21 access, that they have to move to someone else?

22 But I think some measure of churn
23 would be important in these programs.

24 CO-CHAIR HARDIN: I want to thank each
25 of our panelists for very valuable, rich
26 dialogue. We've covered a lot of ground today.

1 We want to encourage you, you're welcome to stay
2 for the rest of the day, and we want to thank you
3 so much for your valuable time.

4 It's really helped to inform the
5 dialogue. At this time, we're going to take a
6 break, and we will resume again at 1:00 p.m.
7 Eastern. So please join us then.

8 We have a great lineup of guests for
9 our first listening session of the day. Thank
10 you for joining us.

11 (Whereupon, the above-entitled matter
12 went off the record at 12:09 p.m. and resumed at
13 1:02 p.m.)

14 * **Listening Session 1: What Do We Want**
15 **to Measure in PB-TCOC Models, and How?**

16 CO-CHAIR SINOPOLI: So welcome back.
17 I'm Angelo Sinopoli, one of the co-chairs of
18 PTAC. I'm pleased to welcome three experts who
19 have experience in leveraging payment features to
20 encourage some of the innovations that we've been
21 discussing today.

22 You can find there are full
23 biographies posted on the ASPE PTAC website,
24 along with their overview slides. At this time,
25 I ask our presenters to go ahead and turn on your
26 videos if you haven't already done so.

1 I'll briefly introduce our guest and
2 then give each presenter time to share their
3 perspective on the topic. After all three
4 presentations, our Committee members will have
5 plenty of time to ask questions.

6 First, we have Dr. Thomas Sequist,
7 Chief Medical Officer at Mass General Brigham.
8 Tom, welcome. And you can start.

9 DR. SEQUIST: Oh, great. Thanks so
10 much. So I'll just take a brief minute just to
11 give a little bit about my background so you can
12 understand where my perspective is coming from.

13 So I am the Chief Medical Officer at
14 Mass General Brigham, which is a full hospital
15 system here in Massachusetts and New Hampshire.
16 In that role, I oversee quality for our system,
17 patient experience, equity, many -- all of the
18 topics that we're here to discuss today.

19 I have also had a 20-year career as a
20 health services researcher and have done a lot of
21 research into the science of quality measurement.

22 And so hopefully what I'm going to be able to do
23 is give you some of my perspectives of 20 years
24 of working in a delivery system, overseeing
25 population health programs, and hospital quality
26 programs during that time.

1 And seeing the evolution of a lot of
2 the important work that has happened in our
3 field. So let me just start off with, and you'll
4 see my slides tend to be a little bit less dense.

5 And because I just am hopeful to share
6 with you some important concepts, at least from -
7 - important from my perspective, and from the --
8 I'm going to give a heavy delivery system
9 perspective on this.

10 So the first question in the space of
11 quality is what are we hoping to achieve? I
12 mean, we measure quality for the primary purpose
13 of improving. That should always be our goal.

14 We have secondary goals of measuring
15 quality to ensure accountability to help patients
16 and providers make choices around care as well.
17 But our ultimate sort of North Star should always
18 be, we're trying to make care better.

19 How I think of defining quality is
20 that we are trying to do really four things.
21 We're trying to achieve the best outcomes
22 possible.

23 That's not just survival, but that's
24 functional status, physical functional status,
25 and emotional well-being. The second thing we're
26 trying to do is ultimately deliver the best

1 experience to patients.

2 That includes service excellence, but
3 also respect, dignity. And I think increasingly
4 recognized is doing that through a lens of
5 empathy which sometimes can be lacking in a very
6 busy and stressful care environment.

7 And then lastly, equity in everything
8 that we do, whether it's in the services that we
9 provide and the way we communicate with people
10 and ensuring that we are avoiding and fighting
11 against structural racism in health care.

12 Finally, we are trying to do all of
13 this with as little waste as possible. The
14 challenge really in quality though has been, how
15 good of a job have we done in achieving this?

16 I think one of the issues is we think
17 about quality measurement, pay-for-performance
18 programs, and financial incentive programs, which
19 include value-based purchasing programs and total
20 cost of care programs, is how have we prioritized
21 the things that you see here on this slide?

22 So have we put first and foremost the
23 notion that we are going to improve patient
24 outcomes through all of the designs of programs
25 that we have?

26 Are we then going to deliver the best

1 experience possible and ensure equity in
2 everything? I think what we've often done, if we
3 can go to the next slide, is we have probably
4 done those approaches that work around outcomes,
5 around experience, around equity, and in cost
6 control.

7 We probably approach those things
8 through separate pillars. And that's been a
9 challenge as I'll talk a little bit more about.
10 So ultimately, over the past 20 years, what have
11 we really achieved?

12 Slower than we would like,
13 improvements in the translation of evidence-based
14 care for those conditions, especially those
15 conditions that cause the most morbidity and
16 mortality.

17 So when we think about cardiovascular
18 disease, cardiometabolic disease, and now
19 increasingly new pandemics like the substance use
20 disorder pandemic that's swept across the
21 country.

22 The second is that we've had limited
23 transitions to a high-functioning service
24 industry. So when we think about, you know,
25 whether or not patients feel that they get a
26 coordinated care experience, that they understand

1 the communication that is happening to them --
2 with them, that they do they feel empathy in the
3 messaging that's delivered to them, and
4 ultimately can they, at a base level, access to
5 care that we are providing across our delivery
6 systems.

7 I think across the country, we've had
8 a limited transition to this focus on service.
9 And then lastly, which, and I think is actually
10 one of the most important things that we could
11 all talk about when we develop quality
12 measurement programs and incentive programs
13 through CMS and other payers, is that we have
14 seen, despite probably now 30 years of research
15 in health inequities, we have seen persistent and
16 now even worsening health inequities.

17 And we don't need to focus only on
18 health inequities that we saw emerge as a result
19 of the COVID pandemic. And we saw obviously
20 horrific inequities come out through the result
21 of the COVID pandemic.

22 We also see worsening inequities
23 across the board, whether it's in, you know,
24 trainees and development of physician
25 professionals and nursing professionals, right?

26 We are not seeing gains in the

1 diversity of our workforce, but we are also
2 seeing -- we're seeing inequities in
3 cardiovascular outcomes and other important
4 outcomes.

5 So and total cost of care is very,
6 very important for us to have a functioning
7 health care system, obviously, and to be able to
8 achieve the goals that we want to achieve.

9 But one of the things that we need to
10 think about is that, like I said before, these
11 goals that we have around outcomes, experience,
12 equity, and total cost of care, they need to be
13 aligned and worked on in a collaborative manner.

14 I think when you get down to the level
15 of the health system, you'll find that any ACO or
16 integrated delivery system or hospital network
17 system or ambulatory multi-specialty group
18 practice will find themselves with multiple
19 competing priorities.

20 If you are an ACO participating in
21 multiple of the CMS programs or commercial payer
22 programs, you'll find yourself focused on at
23 what's home hospital metrics and rehabilitation
24 metrics.

25 Some programs in the hospital quality
26 space that are disease specific, some in the

1 ambulatory space that are more holistic around
2 episodes of care.

3 And the priorities that get sort of
4 brought upon us in these settings can make it
5 hard to sort of coordinate the work that you're
6 doing. I think also if we are truly to focus on
7 an outcomes orientation for our delivery system
8 work, what we find is that you are often focused
9 on financial planning for that year.

10 And in a pay-for-performance
11 framework, that really is for that year while the
12 clinical outcomes goal that we hope to achieve is
13 maybe five years on the horizon.

14 So if we are hoping to reduce overall
15 cardiovascular morbidity and mortality, or let's
16 say just say mortality at the population level,
17 that is a five-year-plus endeavor.

18 However, the finances built around
19 pay-for-performance programs actually don't
20 support that long of a timeline and can make it
21 challenging to really bring together our
22 financial goals and our clinical outcomes goals.

23 So ultimately, then what happens on
24 the ground is you just have confusion around the
25 direction that we should be following around
26 incentive programs.

1 That remains one of the challenges.
2 It's not a new challenge. It's related to the
3 common challenge that you will hear that there
4 are many, many different quality measures.

5 But it's not just that there are many
6 quality measures which can be managed, although
7 there are some expenses associated with that.
8 What's the bigger challenge is the alignment and
9 the coordination of them.

10 How do we as frontline clinical teams
11 sort of spend our day? And frontline management
12 teams, how do we spend our day? So I just want
13 to kind of reemphasize something that I'm sure
14 that everyone has seen before, which is how we
15 talk about quality.

16 So if we take a, like a very
17 traditional view of quality, we might break it
18 down in two zones. One would just be content
19 areas. That would be like the traditional sort
20 of IOM²³ model from the late '90s, which would say
21 effectiveness and timeliness and safety and
22 equity and experience and efficiency.

23 Those are the sort of the content
24 areas. And then there's this other way of
25 thinking about quality around structure, process,

23 Institute of Medicine

1 and outcome. I put up the structure, process,
2 and outcome because I think sometimes in our
3 models of quality measures, we sort of mix up the
4 concepts of the content that we're talking about,
5 whether it be safety or experience, and the model
6 or structure, process, and outcome.

7 When we think about structure,
8 process, and outcome, the goal that we should
9 really always have in mind is ultimately we
10 should always be building towards improving
11 outcomes in our measures.

12 So when we have structures and
13 processes, we should be developing quality
14 measurement programs that actually lead us down
15 the road towards a better outcome.

16 And I think sometimes we've fallen
17 short of that, and we've gotten stuck on the
18 structure and process side. I think, you know,
19 more than 50 percent of the quality measures in
20 CMS programs these days are actually in the
21 structure and process bucket.

22 And so far, fewer of the measures are
23 actually in the outcome bucket, which is actually
24 what our ultimate goal is. Next slide. So how
25 do I think we can promote better patient outcomes
26 in population-based total cost of care programs

1 over value-based programs?

2 So the first point I was making on the
3 prior slide, we really should be strongly
4 evaluating programs for inclusion of outcome
5 measures over process measures and structure
6 measures.

7 That doesn't mean that any given year
8 there always need to be more outcome measures and
9 process and structure, but we should be moving
10 structure and process measures along a pathway
11 that always leads to outcome measures.

12 The second thing we should be focused
13 on is bringing clarity around what is a quality
14 measure versus what is a utilization or an access
15 measure.

16 We increasingly, especially if we want
17 to control total cost of care and we are
18 incentivizing physicians, physician group
19 practices, or even hospitals, we shouldn't
20 confuse the notion of complete utilization
21 metrics with quality and outcomes measures.

22 But the third thing I would say is
23 that we want to start to synchronize and be
24 inclusive for hospital and ambulatory metrics.
25 We have to really start to understand that the
26 hospital-based value-based purchasing program

1 from CMS actually does have direct implications
2 for our ambulatory HEDIS metrics.

3 And make sure that we are syncing
4 those programs together. And then lastly, we
5 have a much longer history of primary care
6 quality measures than we do ambulatory specialty
7 care, yet most ambulatory care is delivered in
8 the specialty space.

9 We have to be able to understand how
10 to move the dial further down the path for
11 specialty care in terms of measuring outcomes in
12 the ambulatory specialty area.

13 So we go to our next slide. How do we
14 promote experience? In total cost of care
15 programs, I think we have to really start to
16 value communication, coordination, and empathy as
17 outcomes unto themselves.

18 They don't, you know, these patient
19 experience measures whether we're talking about
20 HCAHPS²⁴ measures or other versions of patient
21 experience measures.

22 They don't have to specifically link
23 to a clinical outcome to be valued, a patient's
24 experience and service actually is important unto

24 Hospital Consumer Assessment of Healthcare Providers and
Systems

1 itself.

2 We should, in that vein, then be
3 focusing on more objective reports of care over
4 subjective ratings of care. So rather than
5 saying, how would you rate this hospital one
6 through 10, focus on the actual activities that
7 happen in the hospital from a patient report
8 like, were your medications explained to you at
9 discharge?

10 And lastly, how to promote equity in
11 total cost of care? We have to really, because
12 that gap is growing, despite all the literature
13 around this space, we have to really start to
14 obsess over closing that equity gap in outcomes.

15 That means really set it as the
16 highest priority in all of our performance
17 measurement programs. One of the things that we
18 have to avoid doing in all of our performance
19 measurement programs is implementing programs and
20 then learning three years later, how big was the
21 inequity that was created by the implementation
22 of the program.

23 We know enough now that we can predict
24 when inequities are going to develop as a result
25 of performance measurement and incentive
26 programs. So we have to really be on top of that

1 in a prospective way. We need better data.

2 We need to understand not just race,
3 ethnicity, and language. We need to understand
4 all the social risk factors that go into
5 predicting clinical outcomes.

6 We need to avoid measures that keep us
7 stuck in that structural space. So measures that
8 focus on things like creating equity improvement
9 plans are not the way that we are going to move
10 the needle for really what I consider to be an
11 urgent crisis in public health, which is this
12 equity gap.

13 And in particular, the gap created by
14 structural racism in and across our health care
15 systems. So we really need to avoid those
16 structural measures or getting stuck in those
17 structural measures.

18 And then lastly, being thoughtful
19 about risk adjustment, and especially as it
20 relates to reimbursement and outcomes. I won't
21 go into all of the details on this, but it's
22 really important for us to understand when we
23 take race, when we take some of the risk factors,
24 social risk factors that we can use as Sarah gets
25 in the CMS data, whether it's dual eligibility or

1 other sort of CDC25-designated area risk indices.

2 We have to understand that those
3 levels of risk adjustment and when we try to make
4 -- apply risk adjustment in that setting, all
5 that does is sort of bake in and standardize the
6 current inequities that we have.

7 If we're going to really address
8 inequities in the space and do it through risk
9 adjustment, we have to acknowledge that we need
10 more resources than are currently being delivered
11 to even the best hospital systems right now to
12 care for, let's say Black and underserved
13 populations.

14 So we have to be much more thoughtful
15 about that if we're going to take on equity
16 overall. Thank you.

17 CO-CHAIR SINOPOLI: Thank you. That
18 was great information. Appreciate all of that.
19 Next, we will have Dr. David Meltzer, who is the
20 Chief of the Section of Hospital Medicine,
21 Director of the Center for Health and Social
22 Sciences, Chair of the Committee on Clinical and
23 Translational Science at the University of
24 Chicago, and Fanny L. Pritzker Professor of
25 Medicine, Department of Medicine, University of

1 Chicago Harris School of Public Policy and the
2 Department of Economics, and a previous submitter
3 to the Comprehensive Care Physician Payment
4 Model, CCP-PM, proposal. David?

5 DR. MELTZER: Great. Thank you so
6 much for allowing me to present. I really
7 appreciate the opportunity from PTAC and ASPE.
8 Just to say a little more about my background
9 before I jump into the topic of measuring the
10 desired characteristics of outcomes for
11 population-based total cost of care models.

12 By way of introduction, I'm a
13 practicing general internist, both a primary care
14 physician and a hospitalist. I want to take this
15 opportunity to thank Dr. Burstin and Dr.
16 Schneider for being my clinic preceptor and one
17 of my residents way back when I certainly
18 wouldn't still be practicing after all these
19 years were it not for your teaching.

20 I'm also a PhD in economics, and I'm a
21 professor here at the University of Chicago where
22 I run our Section of Hospital Medicine. My
23 research focus over the years, oh, you can go to
24 the next slide.

25 My research over the -- over many
26 years has really focused on the value of medical

1 specialization. I used the inpatient general
2 medicine services at the University of Chicago as
3 an opportunity to study the effects of
4 specialization with the development originally of
5 hospitalists.

6 I studied the effects of hospitalists
7 and outcomes and found really limited evidence
8 that hospitalists made a big difference. And
9 this led me to wonder why hospitalists had grown
10 if they really weren't so much better.

11 And I came to the conclusion a lot of
12 this was because of the changing nature of
13 primary care with falling hospital volumes for
14 traditional, general internists so that it just
15 no longer made political sense for them to block
16 their mornings to see patients in the hospital.

17 But I also realized that this caused a
18 compromise in the doctor-patient relationship,
19 particularly for patients at high risk of
20 hospitalization.

21 And this allowed me to develop the
22 Comprehensive Care Physician Model or CCP model
23 in which primary care physicians focus their
24 practice on patients at increased risk of
25 hospitalization so they can care for them both in
26 and out of the hospital.

1 And I studied this through several
2 randomized trials at the University of Chicago
3 Medicine, and the results of these will form a
4 lot of my comments today.

5 And I'll just point out that the
6 University of Chicago exists on the south side of
7 Chicago. It's an actually very competitive
8 health care market, and one that serves a lot of
9 very socioeconomically vulnerable populations.

10 And so it's an area where sort of
11 success of these models is maybe particularly
12 challenging but important. Next slide. So let
13 me talk a little bit about the results of our CCP
14 studies.

15 The first of these was a CMMI-funded
16 2,000-person randomized trial that compared CCP
17 to standard care with different doctors in and
18 out of the hospital within Medicare patients at
19 the University of Chicago who were at high risk
20 of hospitalization.

21 We found really striking results. In
22 terms of HCAHPS scores, the rating of the primary
23 care doctor increased from the 20th percentile at
24 baseline when people entered the study to the
25 95th percentile for CCP.

26 It actually increased also to the 80th

1 percentile for standard care because we got these
2 folks who weren't happy with their doctors, a new
3 doctor, but a different one in and out of the
4 hospital.

5 In terms of utilization, their key
6 finding really was a 15 percent decrease in
7 hospitalization. This was evident in self-
8 reported data, which is what I have here.

9 We've also analyzed it in Medicare
10 claims now. And what that shows is same pattern
11 overall of 15 percent decrease, but actually a 30
12 percent reduction in non-dual eligible.

13 So patients just with Medicare and not
14 Medicaid. And in those with Medicare and
15 Medicaid, the dual eligibles, we saw a 10 percent
16 decrease, so much smaller and not statistically
17 significant.

18 The reasons behind this smaller
19 decrease in the dual eligibles I think are very
20 important for this discussion today. A big part
21 of it is that there was an artifact really due to
22 what turned out to be two-fold greater retention
23 of high-risk patients in traditional Medicare
24 compared to managed care in the CCP program as
25 opposed to the standard care arm.

26 And that happened in the context of

1 Illinois' Medicare, Medicaid alignment
2 initiative, where basically the dual eligibles
3 were involuntarily enrolled into managed care,
4 and they could only opt out.

5 And the sick patients decided to stay
6 with us because they thought they were getting
7 better care from us, and that was not truly in
8 the standard care arm.

9 So we had sort of adverse selection.
10 I'll come back to that in a minute. The other
11 big reason I think the original program wasn't as
12 successful in duals was the failure to address
13 unmet social need.

14 Now in 2018, we came to PTAC to
15 propose a per member or per month payment model
16 to support the growth of CCP. And it was
17 recommended for limited scale testing, but you
18 know, with Primary Care First and a variety of
19 other things, that never happened. And, of
20 course, COVID.

21 One of the next studies we did really
22 was to develop an intervention to address unmet
23 social need. This was originally funded by the
24 Robert Wood Johnson Foundation.

25 We called it the Comprehensive Care
26 Community and Culture Program, or C4P. It's

1 screened. In addition to CCP, it added screening
2 for unmet social needs, a community health
3 worker, and a community-based arts and culture
4 program to activate patients.

5 In our pilot work for this, and now a
6 subsequent PCORI²⁶-funded 3,000-person RCT²⁷,
7 comparing C4P to CCP versus a Partners-like Care
8 Coordination Program.

9 Our interim results are showing that
10 C4P dramatically reduces hospitalization for CCP
11 even for the duals and especially for the least
12 activated patient.

13 So we think this really is an
14 important addition to this to meet the needs of
15 vulnerable patients. Next slide. So in the next
16 few slides, I really want to address one of the
17 questions of the day, which is these performance
18 measures for population-based total cost of care
19 models.

20 I'm of the belief that measuring both
21 outcomes and care processes are critical goals
22 for performance measure in these models. If we
23 want to improve outcomes, including controlling
24 costs and patient satisfaction, we have to

26 Patient-Centered Outcomes Research Institute

27 Randomized control trial

1 measure them if we wish to improve them.

2 I do wish to highlight there's some
3 reasons for concern. There may be instances in
4 which improving measures for populations most
5 easily accomplished by sacrificing them for some
6 subgroups for whom it's much harder to move
7 things.

8 One of the particularly extreme
9 versions of this is that in many instances,
10 improving measured outcomes can be more readily
11 accomplished by avoiding high-risk or high-cost
12 patients than improving outcomes for the ones you
13 keep in the program.

14 This is particularly true for costs
15 where a handful of people often account for the
16 majority of costs, and avoiding them is far
17 easier than doing the job we should be doing of
18 actually addressing their problems.

19 I also want to highlight that linking
20 performance measures to payment can have some
21 complicated consequences. In fact,
22 disincentivizing measure improvement.

23 We've seen this in patient experience
24 measures where, for example, we get rid of the
25 top category of excellent and fill it with very
26 good. And that's considered a better measure

1 than really looking at excellent experience.

2 We've seen in our own institution that
3 the harder we work to capture outcomes for
4 vulnerable populations does not improve our
5 outcome measures.

6 And I think these are the wrong
7 incentives. I also want to say that I think
8 these populations -- this idea that population-
9 based total cost of care will improve care or
10 reduce costs, has to be considered a hypothesis.

11 There are alternatives, including real
12 fee-for-service reform promoting competition.
13 Not that these are always mutually exclusive, but
14 I think it's really important to be open as we
15 think about this.

16 I also think it's really critical in
17 thinking about how we measure care as we think
18 about the goals of the performance measurement
19 and why we're doing it.

20 I think measuring care is important as
21 a mechanism to temper overemphasis on outcomes
22 and incentives for gaming systems. So sort of
23 process.

24 It's important for testing hypotheses
25 about how to improve care. I also think
26 measuring process of care is critical for

1 increasing the likelihood that practices that
2 actually improve outcomes are followed.

3 So when you pay for care coordination
4 or you pay for defragmentation, you clearly
5 direct people towards that. And I want to
6 emphasize that we may wish to pay for process as
7 opposed to paying for outcomes depending on our
8 confidence in the validity of each measure.

9 What are some of the other goals of
10 performance measures, and what strategies are
11 effective? It's critical to measure these
12 effects in subgroups, especially the vulnerable
13 ones.

14 Given program design, for example,
15 high-cost patients where there's incentive to
16 skimp on care. Issues of causal inference are
17 critical in evaluation.

18 I think we do far too few RCTs, far
19 too few demonstration projects with robust
20 controls. I think we need to really look for
21 clean natural experiments.

22 And I think it's critical to try to
23 avoid programmatic interference. Our experience
24 with CMMI in the context of the Innovation Award,
25 it points to these problems.

26 It's also important in performance

1 measure to think about mitigating the risks and
2 payment models. Whether there are selection
3 issues, as I've highlighted, or rewarding
4 suboptimal processes, I'll point out that when we
5 pay for care coordination, we in a sense reward
6 people for creating fragmented care that requires
7 coordination.

8 And then finally, I think it is
9 important to advance the science of patient-
10 centered care and measurement. Goal attainment
11 is a great example of a measure where much more
12 is probably needed to really make it practical.
13 Next slide.

14 A couple of other things really to
15 highlight as important issues to address in
16 measuring outcomes. I think it's measuring a
17 patient experience, population health, and cost.

18 There are a number of overall concerns
19 that are critical. It's critical to measure all
20 these outcomes in the vulnerable subgroups
21 defined by medical, social, and payment-based
22 risk factors.

23 And I think it's important to stratify
24 those by market structure because there are very
25 different outcomes in different markets depending
26 on the structure of competition.

1 I think outcomes like how well is a
2 program doing in retaining vulnerable subgroups,
3 what are the outcomes of people who transition
4 out of programs would be really important areas.

5 And then finally, thinking about
6 outcomes of the population. What is the relevant
7 population? Is it people enrolled in your plan?
8 Is it a county?

9 How do we make people accountable for
10 care that they have very little to do with if we
11 make the populations broader? There are also
12 some domain-specific concerns that I think are
13 critical in patient experience.

14 We can have minimal measures like
15 HCAHPS measures and patient experience. Simple
16 measures. Even there, we have issues like top
17 coding, and then we have aspirational things like
18 goal attainment where measures are even harder.

19 In population health outcomes, we know
20 it's hard to move general health measures, and
21 this makes it very tempting to focus more on
22 disease-specific measures.

23 And there are only so many of those we
24 can have. I think prioritizing those linked to
25 identifiable clinical opportunities. One example
26 being mental health, maybe some very important

1 ones.

2 And then finally, in costs, you know,
3 we can't just think about Medicare A and B or
4 total cost to Medicare, including managed care.
5 We have to think about Medicaid.

6 We have to think about the hidden
7 costs to stakeholders, including managed care
8 organizations and providers and to non-medical
9 stakeholders, the social service delivery system
10 and jails and housing and so on.

11 And then finally, and by no means
12 least importantly, I think it's really critical
13 to do more to measure the work life of health
14 care providers. What are the quality and effects
15 on the relationships that these providers have
16 with patients, with their colleagues, with
17 provider organizations, with payers, and with
18 policymakers?

19 We've seen huge rates of burnout. And
20 when we see that burnout, we lose providers who
21 we need, and we sacrifice continuity of care,
22 which seems to be a key driver in positive
23 patient experience. So let me stop there.
24 Thanks.

25 CO-CHAIR SINOPOLI: Thank you, David.
26 Those are fascinating insights. Lastly, we have

1 Dr. Franklin Gaylis, who is Chief Scientific
2 Officer at Genesis Healthcare Partners, Executive
3 Medical Director at Union Health Partners, and
4 voluntary Professor of Urology at University of
5 California, San Diego. Franklin.

6 DR. GAYLIS: Thank you so much. And I
7 greatly appreciate the opportunity to share our
8 experience implementing a pay-for-performance
9 quality improvement payment model, which would
10 seem so easy, yet so difficult.

11 I've had a deep interest in quality
12 improvement for more than 30 years, and it's a
13 pleasure to be part of this presentation. Next
14 slide, please. Some background.

15 Genesis Healthcare Partners. The
16 group within our -- which our work has been in
17 operation for 13 years has 110 physicians located
18 throughout California, is an experience with both
19 two ACO models and the novel pay-for-performance
20 pilot, which I'll delve into in more detail.

21 The goals of our quality improvement
22 intervention was first to create a cost-effective
23 care-based practice to improve the treatment of
24 patients with low-risk prostate cancer, develop
25 four meaningful performance measures and two
26 interventions which were implemented.

1 Firstly, provide feedback to our
2 providers, our physicians, audit and feedback,
3 which we call transparency in a pay-for-
4 performance model.

5 The implications of firstly
6 identifying meaningful specialty-related
7 performance measures, and obviously this is a
8 urology-specific specialty model, and explore the
9 possibility of hybrid models between organization
10 level and provider level measures. Next slide.

11 Prostate cancer is the most common
12 non-skin cancer in men in the United States, and
13 it's the second leading cause of cancer deaths.
14 And the overtreatment of low-risk prostate
15 cancer, which we refer to as indolent or slow-
16 growing disease, tends to do more harm than good.

17 And despite recommendations to adopt
18 conservative management, which is active
19 surveillance or watchful waiting for more than 20
20 years, both the adoption and the quality of
21 active surveillance for low-risk prostate cancer
22 remains suboptimal.

23 And the disease disproportionately
24 affects Black men who tend to present with more
25 aggressive disease and have higher mortality
26 rates compared to white men.

1 Black men tend to experience less
2 access to prostate cancer treatment, longer
3 delays between diagnosis and treatment. And some
4 of the factors responsible include mistrust of
5 the health care system, poor physician, patient
6 communication, lack of patient knowledge of the
7 disease and treatment.

8 And it's an expensive disease to
9 manage. The cost estimate for 2020 was \$18.53
10 billion, with an additional \$8.4 billion loss in
11 productivity between their men and their spouses.

12 Next slide. As this cartoon depicts,
13 we still have an enormous challenge in
14 implementing evidence-based knowledge into
15 routine clinical practice as estimated 17 years.

16 In the field of implementation,
17 science seeks to speed things up, and our project
18 reflects implementation science approach. Next
19 slide. And this challenge has been recognized by
20 our urology specialty as noted in this editorial
21 that it takes historically 17 years to adopt
22 proven interventions.

23 And that research increasingly shows
24 that our best treatment advances may not be
25 implemented effectively in diverse settings and
26 populations, and which results in inequitable

1 access and effectiveness of care.

2 And that we urologists and physicians
3 in general have major problems with
4 implementation. Next slide. This slide serves
5 to show a chronology of our group, Genesis
6 Healthcare Partners, in quality improvement
7 interventions.

8 Back in 2011, we formed this large
9 group of 25 physicians, and one of our initial
10 objectives was to mitigate the overtreatment of
11 low-risk prostate cancer.

12 And in 2011 through 2012, we
13 implemented a best practice, which included
14 passive education. And this resulted in minimal
15 improvement.

16 In 2013, we implemented an anonymized
17 physician audit and feedback dashboard, which
18 resulted in significant improvement in the
19 adoption of active surveillance for low-risk
20 disease.

21 And then if we fast forward to 2022
22 through a collaborative with the Prostate Cancer
23 Active Surveillance Project and United
24 Healthcare, we implemented two interventions.

25 First, a transparent physician
26 auditing feedback, and secondly, a pay-for-

1 performance value-based model resulting in even
2 more improvement. Next.

3 This slide shows the dashboard that we
4 used more than 10 years ago that was published in
5 the journal Urology. And you can see in the
6 left-hand column there's a physician listed but
7 in an anonymized fashion.

8 And we created benchmarks, which you
9 can see in the key below, core suboptimal and
10 optimal at the time. And the first column
11 reflects our adoption of conservative management,
12 which was, if you look at the bottom row, 32
13 percent between 2011 and '12. That's 13 years
14 ago.

15 We then implemented the dashboard,
16 shared physician performance with our respective
17 physicians. And one can see that between the
18 second and third years, there was a dramatic
19 improvement from 39 to 58 percent.

20 Between the first and second year,
21 passive education was used with minimal
22 improvement, but when physicians were compared to
23 each other with audit and feedback, that resulted
24 in a dramatic improvement. And the next slide.

25 Fast forward to 2022. This slide
26 reflects the four quality measures that we

1 developed in collaboration with the PCASP²⁸ and
2 United Healthcare.

3 The first was documentation, which may
4 be considered a structured measure where EHR-
5 embedded templates or structured notes were
6 placed.

7 And this prompted physicians to
8 directly re-stratify and document how they were
9 caring for patients to mitigate the need to do
10 retrospective chart analysis, which is laborious.

11 We set a benchmark of 90 percent. The
12 second measure was conservative management or
13 observational management of men with low-risk
14 disease.

15 And we set the benchmark there at 75
16 percent. The last two measures, confirmatory PSA
17 testing more than two PSA tests per year, and a
18 confirmatory repeat biopsy, which is a second
19 biopsy after the initial biopsy.

20 To ensure we have the right diagnosis
21 to put a patient on conservative active
22 surveillance management, we set the benchmark for
23 the latter two at 75 percent.

24 I should note that measure 1 and
25 measure 2 of EHR-based primary clinical data

1 retrieved, and measures 3 and 4 were based on
2 claims data.

3 One should also note that the payment
4 incentive was determined by the entire group
5 meeting all four quality measures and was paid to
6 the group, not to the individual physicians.

7 Next slide. And these are some of our
8 data. This is for measure to the adoption of
9 conservative management for low-risk prostate
10 cancer.

11 One can see in the rectangle at the
12 bottom the total for all three groups within our
13 large group, 83 percent adoption, which is a
14 dramatic and heartening improvement compared to
15 past performance and also compared to national
16 standards, which were -- which are about 50 to 60
17 percent according to published data.

18 In the next slide, we look in a little
19 more detail at measure 1, which is the
20 documentation according to payer type. And you
21 can see in the right-hand top rectangle, we had
22 excellent adherence in the UnitedHealthcare
23 patients who were both eligible or not eligible
24 for the P4P program compete compared to other
25 payer patients.

26 And that was a result of additional

1 interventions, which included, as I've mentioned
2 in the left top box, meeting with office
3 managers, reminders to physicians without
4 outstanding incomplete charts, calling physicians
5 or emailing and monitoring the data.

6 It was a laborious task, but in order
7 to meet the measures, we had to do this extra
8 work. And as I mentioned in the last slide, we
9 had an 83 percent adoption overall for all
10 patients irrespective of payer.

11 And one can see the two rectangles at
12 the bottom on the right-hand side reflect only
13 United Healthcare patients who are eligible or
14 not eligible for P4P.

15 If you do the math, only 12 percent of
16 the entire cohort, 12 percent were eligible for
17 the P4P program, yet we saw a significant
18 improvement in adoption of active surveillance.

19 Next slide, please. Next slide. We
20 decided to go back to get a baseline in 2019 so
21 we could compare all three groups that had been
22 more recently introduced to the Genesis group.

23 And our baseline was 65.5 percent
24 adoption for low-risk disease, which was measured
25 in 2019. It improved dramatically to 83 percent
26 in 2022.

1 And in 2023 last year we were at 86
2 percent. The pilot project ended last year in
3 2023. And our preliminary data, because these
4 are EHR-based data sets for 2024, we're at 92
5 percent.

6 So the trend continues, which is
7 gratifying. Next slide. I'd just like to pivot
8 to the cost of implementation and some of the
9 savings, and some of these matters have been
10 addressed in earlier presentations I heard.

11 It's an expensive effort and endeavor
12 to implement these data retrieval efforts. An
13 automated electronic data capture and an analytic
14 system required a more than \$220,000 build, which
15 included the creation of templates in the EHR,
16 the data capture process implementation,
17 refreshing of dashboards.

18 The savings potential is significant
19 because the cost of initial radical treatment for
20 low-risk prostate cancer, which is radical
21 prostatectomy radiation compared to conservative
22 management, is 45 times greater.

23 And increasing the rate of
24 conservative management from what I just showed
25 as our baseline of 65.5 percent to our 83
26 percent, which we observed in 2022, would reduce

1 the average three-year cost per patient by more
2 than 25 percent.

3 And given that about 300,000 men are
4 diagnosed with prostate cancer in the United
5 States each year, of nearly 60 to 75,000 have
6 low-risk disease, the potential cost savings to
7 payers with conservative management is
8 considerable, estimated to be between \$150 to
9 \$200 million over three years.

10 However, this is nuanced because we
11 know with time, low risk will progress and
12 unfortunately convert to active treatment. Next
13 slide.

14 Addressing some of the challenges to
15 implementation firstly and has been addressed on
16 numerous previous presentations. Relevance, the
17 measures have to be relevant, relevant to our
18 colleagues. The ease of implementation.

19 We need to minimize physician effort.
20 We just heard the last speaker talk about
21 burnout. We can't burden our physicians with
22 more effort, and that's why we created templates
23 and structured notes to create structured data
24 sources for the data.

25 Changing group culture and buy-in
26 requires leadership to drive change. Defining

1 these four measures that I just shared took
2 three, two years to agree on the measures and
3 thresholds with internationally reputable
4 prostate cancer researchers.

5 The reporting mechanism required
6 significant IT investment to capture, measure,
7 and report, and this was quite costly. Next
8 slide. I want to just pivot for a moment, and I
9 apologize for this busy slide.

10 However, we believe that data speaks
11 of this slide volumes and begs the question, what
12 quality measures are relevant to urology
13 practice? These data reflect what's being
14 reported by urologists in MIPS.

15 And if you just focus in the yellow
16 highlighted top in the rectangle MUSIC4²⁹, which
17 is equivalent to our measure 2, which is active
18 surveillance or watchful waiting for low-risk
19 prostate cancer, was reported by two urologists.

20 In contrast, if we look at the bottom
21 rectangle, the cross-cutting measures,
22 controlling high blood pressure, tobacco use,
23 screening, body mass index measurement screening,
24 look at the numbers reported by 5,000 urologists,
25 3,000 more than 1,000.

29 Michigan Urological Surgery Improvement Collaborative

1 These are easier to report, but you
2 have to ask the question, do they reflect the
3 quality of care being provided by a urologist
4 specialist? Next slide. And some final
5 thoughts.

6 The implementation of quality
7 improvement program using the specific
8 interventions, which I shared, transparency,
9 audit, and feedback, as well as a payment
10 incentive has great potential.

11 What we experienced was scaling these
12 programs is a challenge. Only one group
13 participated in the UHC program, or acceptance by
14 other payers was a challenge.

15 Only United Healthcare participated.
16 Five other large payers were invited, but elected
17 not to participate. We strongly recommend that
18 the government should be participating in taking
19 the lead and encourage the private payers to
20 follow suit.

21 The programs that we establish need to
22 be practical, relevant, and easy to implement.
23 And the funding needs to be accessed to implement
24 such programs, which is critical to the start-up
25 expenses which we experienced.

26 And as I've heard previously, we

1 should -- we -- based on what we saw, perhaps we
2 should be paying for reporting as this is most
3 challenging and costly.

4 And measuring and reporting often
5 leads to the result known as the Hawthorne
6 effect. That is when people are being monitored,
7 their work product tends to improve. Thank you
8 for your attention.

9 CO-CHAIR SINOPOLI: Thank you. And
10 thanks to all the presenters today. So PTAC
11 members, please feel free to ask questions
12 throughout the conversations.

13 And just remember to flip your name
14 tag up or Josh since you're on Zoom, if you can
15 just raise your hand in Zoom if you have
16 questions as we progress.

17 So I'll start out with the first
18 question. So what do we want to measure in total
19 cost of care models that will ultimately lead to
20 the quadruple aim, which includes outcomes,
21 experience, and how care is actually provided.
22 So if we could start out with Franklin.

23 DR. GAYLIS: Well, I think it needs to
24 be disease-specific. And in our example, you
25 know, measuring the appropriate care for low-risk
26 prostate cancer, we wanted to mitigate the harms

1 of overtreating prostate cancer, and therefore we
2 chose active surveillance or watchful waiting as
3 conservative management.

4 With regard to patient experience, we
5 have employed the rater8 system, which includes
6 the net promoter score. So we routinely manage -
7 - measure patient experience.

8 And the cost I commented on, there's
9 dramatic significant cost benefits to what we are
10 doing, but I think there's a caveat that's
11 important to note, and the similar model was
12 presented to the PTAC several years ago, and one
13 of the issues was how do you protect patients?

14 And I think tracking these patients to
15 make sure that if they progress on treat, on
16 active surveillance, conservative management, we
17 capture them in a timely fashion that we can
18 still provide them curative therapy.

19 So I think that's -- some of those are
20 essential items to this particular model.

21 CO-CHAIR SINOPOLI: Thank you. How
22 about David?

23 DR. MELTZER: You know, I think we
24 want to measure many things. We don't want to
25 just measure outcomes. I appreciated Tom's
26 comment that sort of getting to outcomes is a

1 great thing.

2 But I think that path from structure
3 to process to outcomes, I think it's extremely
4 important along the way that we look at those.
5 And one of the challenges, of course, is there
6 are many steps along the way, and we can't
7 measure them all.

8 And I'm appreciative of the idea that
9 disease-specific measures are often sort of
10 cleaner and, but they're narrow. And so I think
11 we need to be thinking carefully about what are
12 the cross-cutting processes that really drive
13 care and that are relevant across multiple
14 diseases and multiple outcomes.

15 And, you know, they include to me
16 things like relationships with providers, whether
17 people are having regular visits in primary care,
18 whether they're avoiding hospitalization, those
19 sorts of things.

20 And so I'd like to see us have a
21 balanced approach that's driven by an
22 understanding of the risks of gaming and the
23 processes that can drive improved outcomes, as
24 well as measuring outcomes.

25 CO-CHAIR SINOPOLI: Thank you for
26 that. Franklin.

1 DR. GAYLIS: I think I just commented.

2 CO-CHAIR SINOPOLI: I'm sorry. Thomas.

3 DR. SEQUIST: Did you want me to go
4 next, or?

5 CO-CHAIR SINOPOLI: Yes. Yes.

6 DR. SEQUIST: Okay. Yeah, just
7 listening to this, I mean, I think these are --
8 this is a hard question, right? And I think you
9 sort of have this -- I think you -- we're sort of
10 stuck between two choices, I think.

11 So one is what David is saying is, you
12 know, pick a broad-based kind of structure, or
13 even better, a process measure that we think will
14 impact care across the board.

15 And trust in that was in -- that
16 that's going to improve the outcomes that we're
17 all desiring. If you take like more of the
18 outcome's approach, what I would say is, so
19 that's option -- that's one option.

20 Another option is to take an outcomes
21 approach, but then how do you, you know, you do
22 want to avoid it, you're only looking at a couple
23 conditions, and you're not really looking at the
24 health of the whole population.

25 One of the ways to think about that is
26 to be really specific about what contributes to

1 the biggest morbidity and mortality problems
2 across your population that you're focused on,
3 and really focus your measurement in that space.

4 And it may be that focusing on that
5 gets you to, you know, 50 percent or 60 percent
6 of the total morbidity and mortality in
7 population. But if you will have optimized care
8 for that percentage, you will have done a lot
9 like in your population-based care model.

10 I don't think there's a right answer
11 here between those two choices. They're just
12 different approaches. What I think is not the
13 right answer from a delivery system standpoint is
14 having two different programs taking two
15 different approaches.

16 That's really challenging when you're
17 on the ground, and you're being sort of asked to
18 do one thing, which is focus on these sort of
19 broad-based process and structural measures, and
20 then from a different program given very targeted
21 outcomes for a very specific disease. That's
22 really hard.

23 CO-CHAIR SINOPOLI: Thank you. So I
24 think the next question digs a little deeper into
25 what we just discussed. And we heard this
26 morning a lot about a balanced portfolio approach

1 of a mixture of what we're measuring.

2 And so the question is, what is the
3 appropriate mixture of outcome, patient
4 experience, and process measures to directly
5 measure system change?

6 And how organizations provide care
7 with the thought in the background of, there's
8 been concern of too many metrics out there, too
9 many things to measure, too expensive, too much
10 administrative burden?

11 So how would you all approach that
12 question in terms of prioritizing what's
13 important? And so we'll start out with David
14 this time.

15 DR. MELTZER: Sure. I think it comes
16 down to the question of kind of why we're
17 measuring these things, and I highlighted a
18 couple in my remarks to temper over emphasis on
19 outcomes and the potential to gain the system.

20 In those instances where we think
21 gaming is particularly at risk, I think we want
22 to go, at least partially make sure we've got the
23 process along with it to test hypotheses about
24 how to improve care.

25 If we are not sure that a process is
26 important, it may be more important to measure it

1 in order to understand if it really does
2 correlate with outcomes to drive change, to make
3 sure that care practices that we do think improve
4 outcomes are being followed.

5 No better way than to pay for them.
6 And I think ultimately as we balance these, we
7 need to look at the confidence we have in the
8 outcome's measures and their value and those that
9 we have in the process measures and, you know,
10 overweight, those things we're more confident in
11 and underweight those probably we're not.

12 And I think that's how I would put
13 together a balanced portfolio.

14 CO-CHAIR SINOPOLI: Okay. Thank you.
15 Thomas?

16 DR. SEQUIST: Yeah, I don't know that
17 there's like a number, like there's a pie chart
18 that shows that it's, you know, one-third this,
19 one-quarter this and, you know, one-quarter that.
20 I don't think that that's the answer here.

21 What I would suggest though is when we
22 -- I -- as I look at like our programs over the
23 past couple of decades, I, just going back to the
24 -- one of the points I made earlier, I just worry
25 that we get stuck in the structure and process
26 realm, and we're often not -- and we really,

1 really optimize those.

2 And you can see some structure in
3 particular process measures that have gotten
4 close to 100 percent say like in the HDA³⁰ space,
5 and yet we're not, you know, preventing
6 cardiovascular death at the, you know, to the
7 same degree of improvement that we're seeing in
8 those process measures.

9 And so my only sort of advice here
10 would be, it's not that there has to be a certain
11 percentage of them, measures in a portfolio that
12 are outcomes versus process, but we have to force
13 those process measures to be pointing towards an
14 outcome measure.

15 Like, and if they're not pointing
16 towards an outcome measure over time, I think
17 we're not like following the model correctly of
18 quality improvement.

19 And then that leads to, you know,
20 people just hyper-focusing on process measures,
21 and I don't know if they're not really gaming it,
22 but you can, you know, process measures can be
23 made to improve in such a way that it doesn't
24 actually improve the outcome measure that they
25 were intended to be linked to.

30 Healthcare Distribution Alliance

1 I just think it's really important for
2 us to always keep in mind what is the link that
3 we're hoping to achieve between your process
4 measure we have and the health status that we're
5 hoping to achieve, and making sure that we are
6 validating that link repeatedly over time.

7 CO-CHAIR SINOPOLI: That was great.
8 Thank you. Franklin.

9 DR. GAYLIS: I just want to make the
10 point that I don't think there's a one-size-fits-
11 all, and I think we have to recognize that
12 speaking as a specialist, a surgical specialist
13 in urology compared to primary care, what's
14 relevant and important to the patients that we
15 are treating is very different.

16 And, you know, within our group, we've
17 got multiple subspecialists even with urology.
18 So with a physician who's treating female urine
19 incontinence as a specialty, there needs to be
20 certain validated questionnaires to measure the
21 outcome of their treatments.

22 An oncologist, a surgical oncologist
23 would be looking at surgical outcomes and, you
24 know, perhaps 30-day readmission morbidity
25 mortality.

26 So I think this is where we've had

1 challenges. I've been in the field for over 30
2 years, and still we do not have standardized
3 measures that are uniformly applied to urology.

4 And that's why you see a lot of cross-
5 cutting measures being reported on. Process
6 measures has been discussed in my -- in our
7 example of active surveillance.

8 They're important, and they do lead to
9 measuring an outcome. We want to make sure, for
10 example, the patient on active surveillance
11 remains on active surveillance and doesn't have
12 progression of their cancer and dies from it
13 because they weren't actively and appropriately
14 monitored or surveilled.

15 And that's where like the two PSAs of
16 confirmatory biopsy, perhaps an MRI, these have
17 to be customized. So these processes may --
18 process measures do lead to hopefully better
19 outcomes.

20 CO-CHAIR SINOPOLI: Great.

21 DR. GAYLIS: Thanks.

22 CO-CHAIR SINOPOLI: Thank you so much
23 for all those comments. So as we move more and
24 more toward a total cost of care model and even
25 global payments, how do the performance
26 measurements that we're measuring today differ in

1 the value-based purchasing programs from what it
2 needs to be in a total cost of care model? And
3 so I'll start out with Thomas on this one.

4 DR. SEQUIST: Sorry about that. Yeah,
5 I mean, I don't -- I'm trying to -- and I thought
6 about this as we initially talked about this
7 panel and now having heard everyone's comments, I
8 don't think that it's necessarily so much about
9 the difference between value-based care programs
10 and total cost of care programs and the quality
11 measures.

12 I think it's more of a generic
13 question about what direction do we think quality
14 measurement is going, and how can we improve it?

15 I don't want to like sound like it's completely
16 broken, it's not.

17 I mean, I think there's been a lot of
18 really great movement in this space over the past
19 couple of decades to improve care. To me, the
20 thing that can improve most in these total cost
21 of care programs is a couple things.

22 One, one of the things David has said
23 a couple of times, which is really, really
24 important, is to make sure that we're designing
25 them in ways that prevent folks from gaming in a
26 way that would adversely impact underserved

1 populations.

2 Whether that's through selection
3 processes or care management processes once
4 they're in your clinical programs. It's just
5 really, really important in any program that
6 starts to look at cost that we have up sort of
7 barriers or guardrails, I guess guardrails around
8 underserved populations of any form.

9 Whether they be folks who don't speak
10 English, folks who have lower incomes, or from
11 different racial backgrounds. That's one thing
12 that I think is just really critical that's been
13 a problem in value-based care programs. It would
14 be a problem in any sort of total cost of care
15 program.

16 So I don't, again, like I don't think
17 that's you -- that's a sort of a difference
18 between those two models, but it's really
19 critical as we move forward in these total cost
20 of care programs that we have that very closely
21 in mind.

22 And then the second thing I would say,
23 sorry, I'm babbling a little bit, but the second
24 thing I would say is that it's just really
25 important that we understand and have alignment
26 on the -- on for the folks on the ground in the

1 different ways we're being incentivized to
2 improve care.

3 So I think we should choose, like from
4 all the things we're talking about, like, right,
5 with process measures, outcome measures, the
6 links between them.

7 How does service excellence and
8 patient experience fit in? I think it's really
9 important that when the messaging hits the ground
10 level, so an individual hospital, a doctor's
11 practice, that we pick one conceptual sort of
12 approach on quality measurement so the teams on
13 the ground can actually design their
14 interventions in a way that doesn't feel so
15 chaotic or spread out.

16 Right now I think there's just
17 different messaging around what's important. Is
18 it structure process? Is it outcomes? Is the
19 patient experience? How does the cost measure
20 balance? And it's challenging.

21 CO-CHAIR SINOPOLI: Perfect. Thank
22 you. Franklin.

23 DR. GAYLIS: Yeah, I think the
24 principles of quality measurement where it's
25 structured process outcomes that we've been
26 discussing extensively can be applied across, you

1 know, all disciplines subspecialties.

2 But I think we have to understand that
3 each specialty is very different in what's
4 relevant to their practice, what's relevant to
5 their patient, what's relevant to the physician.

6 And you know, for example, if we just
7 look at prostate cancer, and we had a few groups
8 participated in the OCM model, the Oncology Care
9 Model, and they had to drop out because things
10 changed.

11 And there was, this was treating
12 patients with advanced prostate cancer. And what
13 happened was a lot of the new drugs, which are
14 very expensive, came into development and were
15 available, which hadn't been taken note of in
16 prior experience.

17 And as I've been speaking about early-
18 stage prostate cancer is a very different setup.

19 We've got active surveillance versus surgery
20 versus radiation, whereas in advanced disease,
21 you're talking about very expensive therapeutics
22 like androgen deprivation and chemotherapy and
23 novel agents used in oncology.

24 So I still think one has to dissect it
25 out a little more and become specialties-specific
26 as we design these value-based models of total

1 cost of care models.

2 CO-CHAIR SINOPOLI: Thank you. David.

3 DR. MELTZER: I guess I'd like to
4 highlight that population-based total cost of
5 care models may differ from traditional value-
6 based purchasing programs, both in the population
7 part and in the cost part.

8 So one first question is what is the
9 population? What are the denominators in each of
10 those, and how fixed are they? How fluid are
11 they? And how are we dealing with the changing
12 denominators?

13 I would interact that with market
14 structure in an area where you're the dominant
15 provider and there's very little competition.
16 Gaming has a very different character than a
17 market like the one we are in.

18 And then in the total cost of care
19 domain, I really want to point out how important
20 this is particularly for socially vulnerable
21 populations where there are a variety of social
22 services where utilization is very much
23 integrally connected with medically utilization.

24 But even for more affluent patients,
25 things like decisions you make in your 50s and
26 60s about how to manage your prostate cancer may

1 affect the urinary incontinence you have years
2 later with consequences for your ability to live
3 independently and costs, for example, to
4 Medicaid.

5 And so we really need to think in a
6 more integrated way about these things if we're
7 going to reach this sort of goal of population-
8 based total cost of care, and dealing with both
9 what is the population and what are the costs
10 seem, to me, critical differences.

11 CO-CHAIR SINOPOLI: Perfect. Thank
12 you. Lauran, do you have a question?

13 CO-CHAIR HARDIN: Sure. I'm going to
14 ask a follow-on question based on that and
15 starting with David, but everyone is welcome to
16 answer. I was really intrigued when you were
17 talking about the subgroups that you're seeing in
18 the most vulnerable high-cost populations you're
19 serving.

20 I'm curious what patterns or
21 archetypes you're seeing in those, who those
22 population subgroups are, and how that's
23 informing what measures you're considering that
24 would advance equity and total cost of care
25 models.

26 DR. MELTZER: For sure. I can

1 highlight one in particular that sort of speaks
2 volumes. Young Black men with end-stage renal
3 disease.

4 Huge high cost of care, horrible you
5 know, outcomes far too often come into the
6 program with really serious illness, often very
7 much sort of neglected care, tremendous unmet
8 social needs.

9 And I will tell you that that
10 population in our group, it's about six percent
11 of our total population. And it accounts for an
12 absolutely huge amount of costs, an absolutely
13 huge amount of morbidity and just screams for
14 attention.

15 And I will also point out that
16 Alternative Payment Models, you know, for end-
17 stage renal disease were very active in this
18 region during the period when our study began.

19 And I think that there were profound
20 incentives for selection. And the other thing
21 I'll say is this is extremely difficult to study.
22 Our RCTs are 1,000 patients per hour, basically.

23 So that's the scale of the RAND Health
24 Insurance Experiment. Our intrinsic underlying
25 variability in some ways is less than the RAND
26 Health Insurance Experiment because the level is

1 so much higher to begin with.

2 We have very few low utilizers. That
3 much said, a handful of people, and I literally
4 mean numbers like this are enough to drive
5 utilization between arms with selection enough to
6 matter in a scale, in a study of that scale.

7 And when I say we don't do enough
8 RCTs, I really mean it, and we don't do enough
9 scale. And I can't tell you how blessed I feel
10 to have been able to work so many years on such a
11 complicated RCT because I've learned things that
12 I don't think I honestly ever could have learned
13 in observational studies.

14 And I'm trained as an economist. We
15 love to analyze data like that, but sometimes an
16 RCT is just invaluable.

17 CO-CHAIR HARDIN: Tom or Franklin,
18 would you want to comment on that or no?

19 DR. GAYLIS: I'll just comment on what
20 David said about RCTs. I've been part of the
21 PCASP, which is a national consortium of academic
22 and community urologists trying to do an RCT
23 comparing transparency feedback to the pay-for-
24 performance model because we have some, a
25 fortuity of data. And it's just very -- it's
26 been very difficult to get funding. We've been

1 working on it for six years. We were hoping to
2 submit another P01 grant in the next coming
3 months. But to David's this point, it's critical
4 to understand what implementation science really
5 makes a difference. And just going back to our
6 model, you know, I really think that our
7 physicians know that they're being measured and
8 monitored is truly not appropriate performance of
9 active surveillance adoption. This is the
10 financial incentive.

11 And one other point I just want to
12 make is that getting the data is a huge challenge
13 and I'm in private practice. We're in a
14 community group. We don't have the resources of
15 the bigger University of Chicago. These are
16 extremely an exorbitant amounts of money that
17 we've had to invest with no compensation
18 whatsoever to drive or move a \$200,000 investment
19 in IT to pull the data. And you can't get the
20 data if you do retrospective analyses because
21 physicians' documentation in the EHR is poor. We
22 can't get clinical stage of disease to risk
23 stratify. So you know, it's nuanced. There's so
24 many variables, but I think these are really good
25 points for discussion.

26 DR. SEQUIST: I totally agree with

1 David on the sort of archetype condition with
2 end-stage renal disease. The other area that I
3 would just highlight that is very extensive, but
4 it's spread out probably -- it is spread out
5 amongst a much larger population, but is a
6 challenge in the space of equity is substance use
7 disorder, housing security, and food security.
8 These things all like sort of come together and
9 then end up getting managed in our Emergency
10 Departments, which is from a total cost of care
11 perspective. But even probably more importantly
12 from a care perspective, not what anyone desires.

13 You know, and I would say the thing --
14 one of things where we see the greatest
15 inequities actually in our system is not
16 specifically only related to race and income,
17 it's actually related to language. And so when
18 we have patients who don't speak English as their
19 primary language, and you are really trying to
20 drive towards complex care management, you really
21 do realize how critical and how just everything
22 is built 99.9 percent around English across the
23 system. And whether you're running care
24 management programs, whether you know, any kind
25 of program that we're running, it's been -- it's
26 been very hard to sort of overcome that

1 challenge.

2 So at this point, I mean I think there
3 are these conditions like end-stage renal
4 disease, super-expensive, very high-complexity in
5 terms of management. There's this other
6 background set of issues that is contributing to
7 inequity that are very expensive as well that's
8 been a real challenge for us.

9 DR. MELTZER: If I could just add
10 something on top of Tom's comment. Just to be
11 clear, these young men with end-stage renal
12 disease I'm talking about, the vast majority of
13 them are dual-eligible, tremendous unmet social
14 need. So these populations overlap profoundly at
15 least in our environment.

16 DR. GAYLIS: And if I may make a
17 comment regarding the inequity in the Black
18 population, which we've seen, the men tend to
19 present with much more advanced, more aggressive
20 disease. And there may be a biological component
21 which we think, but a lot has to do with access
22 to care. So we only see the patients when they
23 are already in their advanced stage. We're not
24 dealing with them. And that's where there needs
25 to be attention, how to screen them for prostate
26 cancer. At least it's a controversial subject,

1 prostate cancer screening, but at least have that
2 discussion and offer them screening.

3 CO-CHAIR SINOPOLI: Thank you for that
4 discussion. That was great comments. So how
5 should patient caregiver and patient-reported
6 outcomes be utilized? And how important do you
7 think they are? And I'll start out with Thomas
8 on that one.

9 DR. SEQUIST: I'm sorry. When you
10 said how could patient caregiver, you mean like
11 someone in the home? I just want to make sure I
12 understood the question. Or do you mean like
13 physicians or nurses?

14 CO-CHAIR SINOPOLI: No. I mean like a
15 home giver, relative, friend --

16 DR. SEQUIST: Like a family member.

17 CO-CHAIR SINOPOLI: Yes.

18 DR. SEQUIST: Well, I mean I -- So
19 with my, you know, personal take on emphasizing
20 outcomes, I mean I think that those outcomes are
21 critical. And if we sort of separated them out
22 into there's the patient-reported clinical
23 outcomes and then the patient-reported
24 experiences of care. If we talk for a minute
25 about the patient-reported clinical outcomes, I

1 think, you know, PROs³¹ and PROMs, these things
2 actually provide such a window for us to actually
3 get at something that David was talking about
4 earlier, which is they can be applied across
5 many, many conditions. You know, we have many
6 patient-reported outcomes that can be generic
7 across multiple conditions.

8 But if they're important health status
9 indicators, we then have patient-reported
10 outcomes that can actually be disease-specific as
11 well. And they help us in ways that the clinical
12 outcomes measures that we get from medical
13 records, they just can't help us on. So if you
14 take an example like total hips, total knees, you
15 know, we have typical metrics that would -- if a
16 patient were to get hospitalized, I know we've
17 shifted -- have shifted away from hospitalization
18 -- But let's say just for an example, you were to
19 hospitalize a patient to get a total hip. The
20 measures that we would typically report on would
21 be, you know, 30-day mortality rates, 30-day
22 readmission rates, infection rates in the
23 hospital, surgical site infections, and such.

24 And none of that is really the reason
25 the patient got their -- their knee done. Right?

31 Patient-reported outcomes

1 The reason they got their knee done is to walk up
2 the steps and you know, play tennis or play with
3 their grandchildren or whatever it is that they -
4 - functional thing they were hoping to achieve or
5 have less pain. None of those are captured in
6 our traditional quality measures. So patient-
7 reported outcomes have this like fantastic
8 potential, I think to advance this field.

9 Now they are challenging to collect,
10 right? By that, I mean there's a lot of science
11 around them like, you know, survey developers
12 have created them for years. So that part's not
13 a challenge. Literally the practical
14 implementation of them in the clinics. How do
15 you collect them, and what time -- what timeframe
16 do you do it? I think a bunch more work in
17 investment would be really helpful to better
18 understand how you take what are really
19 scientifically well-developed patient-reported
20 outcomes and turn them into patient-reported
21 outcome measures. Meaning like how, when, and
22 where do you collect these things? And how do we
23 set our targets and incentive packages around
24 them? That, this space is a little bit lagging
25 on. But again, like I can't emphasize more, I
26 believe those to be so important for the future

1 of quality measurement.

2 CO-CHAIR SINOPOLI: Perfect, thank
3 you. David.

4 DR. MELTZER: Yeah, I agree. I'll add
5 a couple of things. First of all, with respect
6 to the practicalities of collecting data in
7 patient-reported outcomes, I can't speak to it in
8 any context. But in the context of the
9 university, one of the things we found incredibly
10 valuable is engaging our students in that data
11 collection. I could never have done the research
12 studies that I've done were it not for our
13 undergraduates who volunteer or work as work
14 study students and add data collection bandwidth
15 to our studies. And that has been invaluable.
16 That's very expensive to do, but it's critical.

17 And I'll also point out that although
18 it's very tempting to think that technology is
19 going to be the solution here, for a lot of these
20 most vulnerable patients, technology is not
21 necessarily always accessible. And so we've
22 really found that's important. I also want to
23 highlight the mixed method approaches are very
24 important. We've done some wonderful qualitative
25 research that has really both inspired the design
26 of the program and helped us evaluate it. And

1 then patient-engaged research. Our program is
2 constantly evolving based on the feedback from
3 our patient and commission advisory boards. And
4 those sort of qualitative insights and
5 contributions really do make a difference. So I
6 think PROs are critical. And some of these data
7 collection and engagement issues are key parts to
8 that.

9 CO-CHAIR SINOPOLI: Great, thank you.
10 Franklin.

11 DR. GAYLIS: Yeah. I agree with both
12 David and Tom that patient-reported outcomes are
13 critical. And in my specialty, having done a lot
14 of radical prostatectomy surgeries and knowing
15 the consequences of urinary incontinence, sexual
16 dysfunction, you have to have validated
17 questionnaires. And in private practice, again,
18 that's where I am, we provide the bulk of urology
19 services across the country, where are the
20 infrastructure, the resources, the support to
21 routinely measure these PROs, get the data. And
22 then what do you do with the data? You have to
23 assimilate the data and make it actionable. You
24 have to give it back to the providers. See how
25 they are performing because you may have
26 physicians who think they got, you know, perfect

1 results during surgery that when you show them
2 the data, it really can improve quality.

3 But it's that infrastructure that is
4 really lacking. And you know, my colleagues here
5 fortunately have big institutions to support
6 them. Many of us in private practice have to
7 create this ourselves, and I'm harping on that
8 because it's critical. I feel this is where the
9 payers and the government need to be supporting
10 infrastructure, whether it's through municipal,
11 through American College of Surgeons, which has a
12 great program, but you have to invest as I
13 understand through expensive nurses to collect
14 the data. And with current reimbursement, it's
15 just -- it's just impossible.

16 DR. SEQUIST: Let me just tag onto
17 that. This is the -- I think we are -- our
18 system is the largest collector of PROMs in the
19 country. This is the most expensive measure that
20 we -- that we collect by far. The infrastructure
21 needed to do it is -- it's more than nurse chart
22 abstractors for NSQIP³² or Society for Thoracic
23 Surgery or such. It's just expensive, but again,
24 invaluable. Like once you have those data,
25 they're very, very powerful to drive improvement,

32 National Surgical Quality Improvement Program

1 but very expensive.

2 CO-CHAIR SINOPOLI: Thank you for
3 that. So PTAC has had a lot of discussions about
4 how to engage specialists and had a lot of
5 discussions about can we nest specialty bundles
6 or specialty services within a total cost to care
7 model in a broader network? So could you all
8 comment about how you might structure the metrics
9 associated with specialists within a broader
10 clinically integrated network and maybe in
11 particular around things that might traditionally
12 otherwise be a bundle? Does that question make
13 sense? And I'll start out with Franklin on that
14 one.

15 DR. GAYLIS: Well, I have limited
16 knowledge and experience how we would create a
17 bundle. But just looking from the practical
18 standpoint of my colleagues, what are they
19 treating? They're treating BPH, benign prostatic
20 hyperplasia. They're treating prostate cancer.
21 They're treating kidney stones, incontinence,
22 sexual dysfunction. And we often have individual
23 colleagues that sort of focus in one area. So as
24 a group, perhaps we could create a bundle that we
25 -- we have to again, it's not a one-size-fits-
26 all. We can't paint this room with one little

1 brush. And I think we have to -- I think it
2 should start organically at the specialty level.

3 In my case, it may not be applicable
4 to primary care. But I think there needs to be a
5 recognition that whether it's urology or
6 gastroenterology, we're very different
7 specialists. We see very different diseases.
8 They're very different metrics. There are very
9 different patient needs. They're all so common.

10 We heard about -- we talked about equity and
11 access. But the actual disease and the
12 measurement and bundling and putting us at risk,
13 it's a huge challenge from my perspective as a
14 community practitioner.

15 CO-CHAIR SINOPOLI: Perfect, thank
16 you. Thomas.

17 DR. SEQUIST: So I think one of the --
18 if I were to sort of break this down into like
19 little buckets of questions that need to be
20 answered, first I would say, this is a critical
21 area. Like we can -- we're not going to solve
22 sort of total cost of care unless we can move
23 solidly outside of primary care and into the
24 specialty care space.

25 And I don't -- I don't think that --
26 well, I think that many of the specialty areas

1 would be, you know, would be welcoming of better
2 performance measurement. But I think we need to
3 break it down into a couple of spaces. So one
4 is, are we hoping to measure total cost of care
5 across an entire system, across an entire ACO,
6 let's say? Are you looking for - are we looking
7 for total cost of care down to an individual
8 physician? The reason that's important is
9 because for any given episode of care, that care
10 is often increasingly spread across many
11 different physicians. And that's where you get
12 sort of bogged down and like who are we assigning
13 the bundle of care to? And then people spend a
14 lot of time one that, right, sort of figuring out
15 who is the primary caregiver in this bundle of
16 care? And who do I assign it to?

17 I think it's easier to think about it
18 from a whole system standpoint and just say how
19 we do treat this hip fracture, like from start to
20 finish? Like how much did it cost, and what was
21 our performance on it? If we want to go down to
22 the physician level, I think we will continue to
23 get bogged down into some of those measurement
24 issues.

25 The second thing that I would say is
26 really important for us to really sort of tackle

1 head on or just address up-front is are we
2 talking about procedural-based care or medical-
3 based care? We often think about bundles in
4 terms of a procedure. That is a very clear, sort
5 of time zero. And then we can measure t-10 days
6 or t-30 days of their care. And then t+
7 whatever, three months of their care like I had
8 for a knee or prostatectomy or otherwise.

9 And what we need to also get into
10 because there is a lot of cost associated with it
11 and important performance aspects is the medical
12 delivery of care. So I'll give you an example.
13 A patient gets referred like to a specialist
14 because their inflammatory bowel disease or
15 ulcerative colitis isn't getting better. And
16 that specialist changes some of their immune
17 therapy. When did that episode of care start?
18 When did that bundle of care start? If we can't
19 get better at like defining the sort of stop and
20 start points on these things, we're going to miss
21 a whole bunch of medical specialty care, which is
22 a very large volume of care and expense.

23 And increasingly as we think about
24 specialty pharmaceuticals that are pretty
25 expensive, but very effective in some of these
26 conditions like inflammatory bowel disease, we're

1 going to miss out on measuring that whole aspect
2 of care. So my short version of that is shift
3 some of our attention, I don't know if it's away
4 from the surgical space and into the medical
5 space, or it's just create extra bandwidth to
6 start looking at that medical space because
7 there's going to be a whole lot of cost. And
8 it's going to be in the form of pharmaceuticals.

9 CO-CHAIR SINOPOLI: Great insight.
10 And we've had a lot of discussions around that
11 very point. So, David.

12 DR. MELTZER: Yeah. As I mentioned in
13 my introductory comments, one of the major areas
14 of focus for my work has been understanding the
15 value of medical specialization. And I think one
16 of the real challenges we have is there's a sort
17 of centripetal force that pulls apart medical
18 practice. Some of that is the growth of
19 knowledge and expertise. And some of it is the
20 unfortunate market realities of profits being
21 more readily available to groups that control
22 access to a particular type of service. The
23 former is more understandable than the latter.
24 But I think they're both important.

25 And I think the consequence of this
26 equality measurement is that we very often think

1 about trying to find quality measures that come
2 out of a specialty, rather than encourage
3 integration of the specialty with primary care.
4 And there are a lot of reasons that can be
5 valuable. Long-term follow-up of symptoms is
6 almost certainly going to be in the primary care
7 setting. There are contextual valuables that are
8 relevant across diseases in primary care that
9 might be very difficult and costly for a
10 specialty to connect. So I'd really love it if
11 we could figure out a way to articulate the idea
12 that quality measures should be broad and
13 interconnected across conditions. And build an
14 infrastructure that recognize those synergies and
15 encourage them.

16 CO-CHAIR SINOPOLI: Perfect. Thanks
17 for that insight. And not surprisingly, we have
18 an inflammatory bowel disease doctor that wants
19 to ask a question.

20 DR. KOSINSKI: Yeah. But I'm not
21 going to ask about IBD. David, I totally agree
22 with what you -- what you just said. But the
23 three of you, we chose the three of you for this
24 session because we knew you brought real world
25 expertise to the table. And in listening to the
26 three of you, you all have stripes on your

1 sleeves, but you all have scars on your backs
2 from what you've gone through in trying to
3 succeed. And none of you are young anymore.
4 It's taken years to accomplish what you're doing.

5 So this Committee has a voice. And in
6 using that voice, what can the -- what can the
7 publicly funded entities like CMS do to use a
8 quote "to remove the on the ground confusion
9 around the direction of incentive programs"? If
10 each of you had your wish list for what you
11 wanted CMS to do, what would each of you
12 recommend?

13 CO-CHAIR SINOPOLI: Start out with
14 David.

15 DR. MELTZER: Oh, gosh. I feel like I
16 need about a month to really think over the
17 answer to that. Great question. But since I
18 don't have it, I'll just try. You know, I think
19 a lot about the new generation of people who are
20 going to come in. And I think about the
21 resources that they're going to have available to
22 build the sorts of opportunities to learn that
23 I've had. And I think it's a much more difficult
24 environment for young investigators than it was
25 before.

26 The funding lines for K awards are not

1 great. The funding lines for ROIs are even
2 worse. There's much less margin in academic
3 medicine than there was before. So funding is
4 even more important. The temptations in
5 leadership are, you know, more immediate
6 sometimes and clinical leadership because the
7 pressures are higher. The ability to do long run
8 investment. I think we are making historically
9 damaging under-investments in clinician
10 investigators.

11 We have tried to create a more
12 competitive health care environment for academic
13 medicine, which I think is great. But those
14 resources that used to come from those margins
15 are increasingly not available in order to
16 produce that next generation. So I think we need
17 to invest. And I think that, that investment
18 needs to be broad-based. I mean I'd love to see
19 NIH³³'s budget go up, but I would also love to see
20 CMS' research and development budget go up.

21 And I think with that, there also
22 needs to be a careful understanding of the
23 quality of the information that's generated and
24 the type of support that's provided. I will be
25 forever grateful to CMMI for being one of their

33 National Institutes of Health

1 round one Innovation Awards. I would have never,
2 ever in a million years been able to do what I've
3 done for the past decade were it not for CMMI.

4 And those Innovation Awards, I think
5 were really impactful. I honestly believe they
6 could have been even more impactful if, you know,
7 the monies had been used with a little more
8 emphasis on high-quality evidence generation.
9 But that is not a criticism of the overall
10 endeavor. I would love to see the R&D
11 development for Medicare increase order of
12 magnitudes.

13 CO-CHAIR SINOPOLI: Thank you.
14 Thomas.

15 DR. SEQUIST: So at least I got an
16 extra few minutes in to think just for what was a
17 really, really hard question, but a really good
18 question. I think the thing that comes to me for
19 top of mind is I would really love to see CMS
20 take on in a real way with like a very clear
21 strategic plan, how we're going to address health
22 equity. I feel like it is the biggest public
23 health crisis that we have right now from an
24 overall quality performance.

25 Health equity is going to continue to
26 be the thing that pulls down our performance as

1 we are not able to perform well for people who
2 don't speak English or lower-income patients.
3 And I don't think that I've seen in my mind, a
4 plan that actually sort of is on par with how big
5 of a problem this is. And so that plan, both in
6 terms of an investment and an urgency and sort of
7 a CMS sort of using its influence to push our
8 various sectors of the health care system. And I
9 can go into like lots of detail around that, but
10 I think that's like my highest level thing that I
11 would want CMS to take on.

12 If I were to -- I'll just like - like
13 if I ever do anything -- I'm looking at the time
14 here, I'd just like for 20 seconds rattle off.
15 If CMS could push to say we're going to fund for
16 the kinds of services that address social risk
17 factors in a real and meaningful way, that would
18 also push the commercial payers to also go in
19 that direction for things that -- to David's
20 point around the evidence, we'd have evidence in
21 many ways, but we can't get parents to pay for
22 them.

23 If CMS could push electronic health
24 record vendors to go in directions of development
25 that actually support care for underserved
26 populations, which doesn't happen right now.

1 Right? It's always - it's always an
2 afterthought. If CMS could push in the space of
3 really sort of language access, I think that,
4 that's going to be critical for equity. And we
5 really need to push hard in all these different
6 sectors of the health care system.

7 CO-CHAIR SINOPOLI: Perfect, thank
8 you. And Franklin.

9 DR. GAYLIS: Thanks. You know, I was
10 excited when MACRA³⁴ was legislated and approved
11 in 09, I think it was 2015. And I said here,
12 we're going to get a system that promotes
13 quantity. And nine years later when I see what
14 my colleagues are reporting, I think it's -- it's
15 really disappointing. So even though we're a
16 small specialty and probably other small
17 specialties like gastroenterology, I think there
18 needs to be more inclusion and universal approach
19 to whatever the metrics -- the approach that gets
20 more physicians involved. I just think a lot of
21 us are being left out, particularly in the
22 community practice.

23 And I think the government needs to
24 coordinate with the panelists to make a real
25 effort to come up with programs that are across

34 Medicare Access and CHIP Reauthorization Act

1 different payers. This was our struggle, where
2 we could get one payer to participate. And
3 that's why the pilot, you know, couldn't be
4 completed last year. And I just would lastly ask
5 for the government to recognize how complicated
6 and it was mentioned, the cost of reporting from
7 health that pays \$40,000 per patient -- for
8 physician per year was in one of the earlier
9 presentations this morning. And we cannot absorb
10 these costs. The cost of the -- to develop the
11 infrastructure and it's there.

12 And you heard one of the comments on
13 the EHR, there needs to be coordination and
14 elaboration between EHR companies to get
15 structured data fields -- to pull out the data
16 and structure mechanisms. These are tremendous
17 infrastructures that need to be invested in. And
18 particularly as I speak from the community
19 practice world, we need help. Thank you.

20 CO-CHAIR SINOPOLI: Thank you for
21 that. And I want to thank all the presenters for
22 a great session this afternoon. And you all are
23 welcome to stay on and listen to as much of the
24 meeting as you would like to. We're now going to
25 take a 10-minute break until 2:40 p.m. Eastern
26 Time before moving on to our next listening

1 session where we'll hear from experts on
2 selecting the designing measures for total cost
3 to care models. So thank you, and we'll move to
4 our break.

5 (Whereupon, the above-entitled matter
6 went off the record at 2:31 p.m. and resumed at
7 2:42 p.m.)

8 * **Listening Session 2: Issues Related to**
9 **Selecting and Designing Measures for**
10 **PB-TCOC Models**

11 CO-CHAIR HARDIN: Welcome back. When
12 planning this meeting, PTAC wanted to prioritize
13 hearing from those with experience developing and
14 implementing performance measures to facilitate
15 value-based transformation. As such, we invited
16 four experts from across the country for this
17 panel. You can find their full biographies
18 posted on the ASPE PTAC website, along with their
19 overview slides.

20 At this time, I ask our presenters to
21 go ahead and turn on your video if you haven't
22 already. I'll briefly introduce our guests and
23 then give each presenter time to share their
24 perspectives on this topic. After we hear your
25 introductions and perspectives, I'll be opening
26 it up to the Committee members so that they have

1 plenty of time to ask questions. Committee
2 members, if you do have a question, please tip
3 your table tent name tag up, so that I'm aware of
4 your interest.

5 So first, we'd love to hear from
6 Krishna Ramachandran who is the Senior Vice
7 President of Health Transformation and Provider
8 Adoption with Blue Shield of California. Please
9 go ahead, Krishna.

10 MR. RAMACHANDRAN: Yes. Thank you so
11 much. Krishna Ramachandran, I work under Blue
12 Shield of California for their value-based care
13 efforts. And my perspectives on this topic are
14 shaped by my past experiences as well. I've spent
15 time in the payer side, the provider side, and
16 the health technology space, and so those are the
17 perspectives that I bring to the table today.

18 Let's jump to the next slide. Blue
19 Shield of California is an independent member of
20 their Blue Shield Association. We're a nonprofit
21 health plan dedicated to providing Californians
22 with access to high-quality health care at an
23 affordable price. Our North Star is to create a
24 health care system that is worthy of our family
25 and friends that is also sustainably affordable.
26 We're the only major health plan to voluntarily

1 cap our net income to 2 percent of our revenue,
2 returning the difference to our customers and
3 communities we serve.

4 And since establishing this pledge in
5 2011, we've returned \$817 million to our
6 customers in the California community, and for
7 the last three years we've also invested \$97
8 million into our communities through our
9 foundation, whose mission is to support lasting
10 and equitable solutions that make California the
11 healthiest state, as well as to end domestic
12 violence.

13 My next slide gets into just our
14 value-based care strategy. We call it Pay for
15 Value with Blue Shield of California. A few
16 dimensions that I wanted to highlight for you
17 all. One, we want to make sure that our programs
18 reach, you know, as many providers as we can that
19 are in our network. We want to make sure this is
20 exceptional and expedient for both the member, as
21 well as the provider. We certainly aspire to
22 achieve a 90+ percentile for our key quality
23 measures. And then of course, making sure that
24 our programs actually bend the cost trend, which
25 is a critical priority for the nation there.

26 The next point gets into some of the

1 challenges we face in looking at measuring
2 performance and improving performance as well.
3 And then I had a chance to listen to some of the
4 previous presentations as well. As you'd expect,
5 you know, our provider feedback has fallen into a
6 few buckets. One is certainly the volume of
7 measures. There are too many measures to track,
8 and some of these measures are same thing, but
9 different. And so you still have to track and
10 measure performance across many dimensions.

11 Then of course there's movement and
12 benchmark as well over time. And so, harder to
13 sort of track how are our members and providers
14 actually improving. Engaging specialists
15 certainly is a key topic, which I know came up in
16 past presentations as well. How do we bring
17 specialists along in the process, particularly in
18 the population health models?

19 Third one is just delivering timely
20 actionable accurate performance reporting. That
21 is delivered to providers in a way that they can
22 do something with is key. And then some of the
23 variability in terms of patient attribution,
24 members that come in and out of these programs,
25 particularly with our PPO³⁵ populations. And then

35 Preferred Provider Organization

1 ensuring that the models reflect risk and
2 conditions of the members as well are some of the
3 challenges we've heard from providers.

4 My next slide gets into what have we
5 done, what approaches Blue Shield of California
6 has taken. A key element is just actually
7 partnering with many stakeholders, starting from
8 the purchasers of health care. So these are
9 employers, our proxy associations like
10 purchasers, Business Group on Health, providers
11 themselves, specialty societies, and then other
12 payers that actually harmonize our measures. And
13 I'll give you some examples in upcoming slides as
14 well.

15 Engaging with specialty associations
16 and societies on making sure their input and
17 their perspectives are incorporated into our
18 models. Investing in technology and analytics, I
19 know I mentioned this particularly with
20 meaningful use and advancing interoperability in
21 these efforts, but there's just more we can
22 continue to do to invest, but also make these
23 meaningful and actionable and sort of useful in
24 real life. And then figuring out a way of
25 getting these analytics into provider workflows
26 so that it's not an added burden for our provider

1 partners.

2 So I'll give you an example on the
3 next slide, which is how we brought together our
4 stakeholders, our purchasers, providers, and
5 payers, particularly to harmonize measures, but
6 also beyond as well. How do we harmonize how
7 much we invest or the approaches we take in
8 investments? How do we harmonize approaches to
9 practice transformation and resources we can
10 bring to the table? And so this example is a
11 California Advanced Primary Care multi-payer
12 model where we led the efforts to bring together
13 multiple stakeholders, including purchasers,
14 Business Group on Health, and the Integrated
15 Healthcare Association, which is a stakeholder
16 community on providers and payers as well. And
17 working with our competing payers as well to
18 create a model that we think will help us have a
19 unified approach in how we come to the market in
20 terms of quality measures, payment models, as
21 well as practice transformation. So we're really
22 proud of that work and will actually launch it
23 later this year after, you know, many months and
24 years of sort of working together on structures
25 there. And that's an exciting element for us
26 there.

1 The other one, next slide we can go,
2 is just how we collaborated with specialty
3 associations, as well as just medical societies
4 as well. A highlight is just the work we've done
5 with our cardiology, American College of
6 Cardiology for our cardiology episodes of care
7 models and the work we've done with the
8 California Orthopaedic Association for our ortho
9 models, as well as broader work with the
10 California Medical Association, which is helpful
11 for our primary care models. And then work we're
12 doing at Dana [Safran]'s organization on
13 innovating with their team on speeding up measure
14 development as well, which we think will be a
15 good feeder into our existing value-based care
16 models as well. So definitely excited about the
17 work we're doing across these associations and
18 national organizations as well.

19 My next slide gets into investments
20 we're making on data and technology. We've been a
21 long-time proponent of the benefits of data
22 sharing and data exchange. We launched Cal INDEX
23 about 10 years back, which is sort of a health
24 information exchange, which became -- evolved
25 into Manifest Medex, which is one of the largest
26 health information exchanges in the nation, over

1 16 million members there. We actually were big
2 advocates for the California data sharing
3 framework, which is how do we have policy work in
4 order to exchange data across all the
5 stakeholders, payers, providers in the eco
6 system? So that actually went live in California
7 in January this year, so we are excited to, you
8 know, to liberate the data across stakeholders
9 and communicate value to further validate as
10 well.

11 And then we're also investing
12 internally to integrate the data we're getting
13 from these various sources, whether it's health
14 information exchange like Manifest Medex or San
15 Diego Health Connect or the Los Angeles health
16 information exchanges. But also technology like
17 Epic Payer Platform, which we are live with. I
18 know my partner, Vivek [Garg] from Humana is
19 invested as well in that.

20 So bringing real-time exchange between
21 providers and also being able to use that to
22 drive internal workflows from a payer
23 perspective, but also being able to deliver some
24 of these insights into provider workflow so those
25 that are in value-based care arrangements with
26 us. So I'm definitely excited for that work

1 there.

2 My next slide is takeaways, which is
3 you know, harmonizing measures is key, but it
4 takes a lot of collaboration with purchasers,
5 providers, and other payers. And so we are
6 really committed to doing that. Ensuring that we
7 have the right set of stakeholders and the right,
8 you know, people at the table, including our
9 specialty partners from the specialty
10 associations. And then the power of data and
11 actionable analytics, the right model, and the
12 right people are not enough. We have to make
13 sure that the right actionable insights are
14 surfaced, and surfaced in a way that is not just,
15 log into yet another portal. How do we actually
16 deliver these insights into practicing providers'
17 workflow, so we can actually move the needle and
18 the numbers in the front -- the front of the
19 physician or the care managers and inform them as
20 well.

21 So, excited to continue the
22 conversation. Thank you for the opportunity to
23 share perspectives.

24 CO-CHAIR HARDIN: Thank you so much,
25 Krishna. I'm sure our Committee members will
26 have many questions for you. Next, we'd like to

1 go to Dr. Dana Gelb Safran who is President and
2 Chief Executive Officer of the National Quality
3 Forum. Please go forward.

4 DR. SAFRAN: Thanks very much and good
5 afternoon to the Committee members. I'm really
6 pleased to have a few minutes to talk with you
7 today. Sorry, I was just setting my timer here,
8 so I don't go too long. And I come to you in the
9 role that you see on the slide, but also my past
10 background, which is what brought me before you a
11 couple of years ago is as one of the architects
12 of the Blue Cross Blue Shield Massachusetts model
13 called the Alternative Quality Contract, which
14 was credited with catalyzing value-based payment
15 in the U.S. and even internationally. So a lot
16 of what I'll share today comes from my
17 experiences both in the design, but more
18 importantly, the oversight of that work and
19 supporting providers from across the
20 Massachusetts network who came into that model.
21 And that was over 90 percent of providers.

22 So if we go to the next slide, what's
23 captured here, very recent information from a
24 survey that NQF did out into the health care
25 ecosystem to understand what are the biggest
26 challenges that organizations across all

1 stakeholder groups -- payer, provider, purchaser,
2 patient advocates, policy makers -- what are the
3 perspectives on the state of quality measurement
4 and its uses today? And I don't think probably
5 anything on this screen surprises you. It didn't
6 surprise us. But this really highlights the
7 challenges that NQF is focused on today. It
8 takes too long and costs too much to develop new
9 measures. That there are too many measures and
10 cacophony. The fact that the measurement is so
11 often seeming to be burdensome without benefit.
12 And so our work -- NQF's work is really now
13 focused a thousand percent on addressing these
14 issues. So I'll say a bit about that over our
15 time together this afternoon.

16 If we go to the next slide. This
17 highlights something I believe I shared with you
18 when we met two years ago and comes from the work
19 of the Healthcare Payment Learning and Action
20 Network. Some subcommittee that I had the
21 privilege of leading together with Glenn Steele,
22 then CEO at Geisinger. And that committee's work
23 kind of coined this notion of big dot measures.
24 Saying that value-based payment really demands a
25 shift from the little dot measures that we have
26 today that really represent the transactions or

1 the processes of care that are really a byproduct
2 of a fee-for-service model of paying for care to
3 the more appropriate bigger dot measures that we
4 need under value-based payment.

5 And that we pointed out by moving to
6 bigger dot measures, we address parsimony because
7 we need fewer measures. But also importantly, we
8 lay out what are the outcomes that the value-
9 based payment model is looking to achieve? And
10 let's leave the process and how to achieve those
11 outcomes to those who provide care and not
12 micromanage that. So that was what was laid out
13 back in 2016.

14 Let's go to the next slide. I would
15 say that what you see on the right side here is
16 the Alternative Quality Contract measure set that
17 I developed in 2007 as we were preparing to
18 launch the AQC. It launched in 2009, so this was
19 our design phase. And what you see is -- you
20 know, it was at the time, the most comprehensive
21 quality measure set in any health plan provider
22 model. Pay-for-performance was still relatively
23 new in 2007. It's generally, you know, a small
24 number of measures, very small dollars. And here
25 we were expansive. Ambulatory care, hospital
26 care, process outcome, patient experience in both

1 settings.

2 And you know, what is the case is that
3 unfortunately value-based payment measure sets
4 today look nearly identical despite over a decade
5 of agreement consensus. And that 2016 report
6 from the LAN³⁶ saying we need the outcome oriented
7 big dot measures that really are more in keeping
8 with the goals of value-based payment.

9 And so on the left side, what I
10 highlighted there, and I won't read these to you.
11 I know you received these in advance and you can
12 see for yourself, but the issues that we need to
13 address, we need measures that represent the
14 outcomes that matter. We have to address the
15 issue of data sources and burden, but also
16 timeliness. And I know you heard earlier today
17 some discussion about what is the right unit for
18 measurement. And I'd love to share some
19 perspectives about that, but certainly we need
20 the contracts themselves to focus at the
21 organizational level. But we also often times
22 need measures that get down to lower levels that
23 either are part of the contract or importantly
24 that the contracting organization, the ACO, is
25 holding its provider partners accountable for.

36 Health Care Payment Learning & Action Network

1 So we can discuss that. I look forward to that
2 in our exchange.

3 Alignment, I imagine you've heard a
4 lot about today. You'll hear more about it from
5 us. And it's critical. We have heard for a
6 decade or more that failures of alignment across
7 payers are in measures that are used are one of
8 the biggest barriers to provider adoption and a
9 great source of frustration, burden, and
10 disillusionment with the measurement field. And
11 then finally incentive structures that we'll talk
12 a little bit more in a moment.

13 So let's move to the next slide. And
14 here I'll just say a few words about Aligned
15 Innovation. You heard our Blue Shield California
16 colleague referencing participation in this work.
17 Aligned Innovation is designed to address the
18 challenges that we've just been talking about.
19 To accelerate progress toward a next generation
20 of measures for value-based payment that fill
21 high-priority gaps and that represent the
22 outcomes that patients and clinicians say matter
23 most.

24 And what you see on this screen are
25 what are the real four differentiating factors of
26 Aligned Innovation relative to traditional

1 measure development. So first and really
2 importantly to the conversation we've been having
3 is prospective alignment. We have 14 payer and
4 purchaser organizations from across the country
5 who are agreeing to -- in public and private
6 sector by the way, some state Medicaid, many Blue
7 plans, other national health plans, as well as
8 large purchasers like Walmart participating. And
9 aligning that we will agree that these are the
10 highest-priority gap measures that we really need
11 for our value-based payment models and other
12 population health efforts in improving health
13 equity. And if we build it, we will use these
14 measures as-is. We won't adjust them and tweak
15 them as has happened so often with measures
16 today. And also importantly, for every measure
17 introduced, we'll retire two or more measures in
18 an effort to begin to reduce burden.

19 So the prospective alignment is a
20 really critical aspect of what we're doing. A
21 second is that once those priority gaps are
22 identified, the outcome measures that we move
23 forward developing really come from what patients
24 and clinicians tell us are the most important
25 results they're looking for from care relative to
26 those priority gap areas. Today we're in our

1 first cycle of work developing outcome measures
2 for behavioral health, specifically for
3 depression and anxiety in children, adolescents,
4 and adults. And maternal health outcome measures,
5 specifically two measures that experts say if
6 successfully achieving high performance would
7 significantly reduce severe maternal morbidity
8 and postpartum death. And in so doing, improve
9 health equity in maternal outcomes.

10 The third differentiator is that
11 unlike traditional measure development, which
12 typically happens with a pretty small, pretty
13 homogeneous group of providers, we have a
14 purposeful broad selection of providers who are
15 coalition members bringing to the table and who
16 represent every care setting from FQHCs³⁷ to
17 academic medical centers, everything in-between,
18 large and small, urban and rural, and who sit
19 with the measure developer to enable us to front
20 load the discovery and solving of clinical and
21 operational objections to measures that typically
22 don't even get identified until far downstream,
23 and then cause rework and delays and so forth.
24 So that's a really important third
25 differentiator, and the inclusiveness and kind of

37 Federally Qualified Health Centers

1 human center design is critical.

2 Finally, all of this occurs in a 24-
3 month period from end to end from that
4 prospective alignment until having a measure
5 that's ready for our stakeholders to use, having
6 had input from across the country from providers.
7 And that contrasts with a six or more year
8 traditional timeframe.

9 One final feature of Aligned
10 Innovation that I'll just highlight if we go to
11 the next slide is we do in addition to having the
12 coalition and that network of providers who are
13 involved, we also have what we call our
14 Multistakeholder Advisory Council or MAC. You
15 can see the five pillars of participation and
16 representation from stakeholder groups, all of
17 the different centers at CMS and the accreditors,
18 payers and purchasers, patient and consumer
19 advocates, HIT³⁸ and professional societies with
20 expertise relevant to the areas that we're
21 working on in a given cycle. This contributes to
22 the human-centered design and to ensuring that
23 these organizations, which really represent the
24 end users and enablers of the measures being
25 developed, feel that they've had input into the

38 Health information technology

1 measurement process every step of the way so that
2 they are waiting in the wings to take the
3 measures and use them in their programs when the
4 measures are ready at the end of the 24-month
5 period.

6 So two final gets if we go to the next
7 slide. The additional area I wanted to highlight
8 and also work that NQF is doing but separate in
9 some ways from Aligned Innovation is how do we
10 ensure that the data infrastructure in our
11 country enables the goal of richer clinical
12 information without added burden, right? The
13 burden that we hear today from the use of EHRs is
14 the trade-off from moving away from claim space
15 measure, very low burden, but getting more
16 clinically rich information has created burden.
17 And so what I've highlighted here are four areas
18 that I think begin to address this, each of which
19 represent core work for NQF right now and
20 nationally.

21 So if you think of the first bullet as
22 kind of the back end part of EHRs and
23 facilitating the ease of reporting information
24 out, FHIR³⁹ is absolutely critical to that. And

39 Fast Healthcare Interoperability Resources

1 ONCs work on USCDI⁴⁰ and USCDI+ is central.
2 Making sure that prioritized in those data
3 standards are the data elements needed for
4 quality measurement, in addition to the data
5 elements needed for interoperability for clinical
6 care.

7 Second, I think of as kind of the
8 front end. How do we make the richer data
9 available from the EHR without adding burden on
10 inputting things into structured fields? Here I
11 think AI methods, including natural language
12 processing, are going to be extraordinarily
13 important to the very near and longer-term future
14 for quality measurement. And NQF is proud to be
15 doing some work with AMA⁴¹ on this topic with
16 generous funding from the Moore Family Foundation
17 and starting with how the clinical record and the
18 narrative in the clinical record can be used for
19 diagnostic excellence measurement.

20 Then of course, there's the importance
21 of beginning to integrate patient-reported
22 measures into EHR and really facilitating the
23 ease of longitudinal tracking for that. I hope
24 we'll talk more about that. There's so much to

40 United States Core Data for Interoperability

41 American Medical Association

1 be said there in the importance of that area of
2 measurement, but it's cumbersome today. And then
3 finally, pioneering the methods through which to
4 evaluate measures that are derived in these new
5 ways, especially measures derived with AI and
6 NLP⁴², very different from traditional measured
7 development. And we will have to have new
8 methods for evaluating the reliability, the
9 validity of those measures. And that's central
10 to the work NQF is doing today.

11 Finally, and I'll leave you with this
12 - last slide please -- are just a few of what I
13 view, and this is largely from my time at Blue
14 Cross Mass on the AQC, but also my time as a
15 MedPAC commissioner over six years. I would say
16 these are seven key elements that I believe are
17 really important design features that have been
18 proven to enable ongoing performance improvement
19 to be motivating of that ongoing performance
20 improvement. And that's central because we know
21 and we've heard and I showed in the first slide,
22 so much of the way measurement is occurring today
23 is creating a real sense of burden and
24 disengagement. And I think some of these best
25 practices for how we design value-based payment

42 Natural language processing

1 incentive models really can help support as they
2 did in my 12 years at Blue Cross Mass leading the
3 AQC, support ongoing motivation for significant
4 improvement, including on outcomes and total cost
5 of care. So I'll stop there and I look forward
6 to our discussion. Thanks.

7 CO-CHAIR HARDIN: Thank you so much,
8 Dana. Another very rich presentation. I'm sure
9 our Committee is looking forward to asking
10 questions. So next, we'll go to Dr. Vivek Garg
11 who is the Chief Medical Officer of Primary Care
12 at Humana. Please go ahead, Vivek.

13 DR. GARG: Good afternoon, everyone.
14 Can you hear me okay? Yes. Well, thank you for
15 the opportunity to be here today. My name is
16 Vivek Garg. I'm here to share some thoughts
17 around patient and caregiver experience in the
18 context of population-based payment and care
19 delivery models. I'm a primary care physician
20 and internist who's worked within consumer-
21 focused startups and primary care and health
22 insurance such as One Medical Group and Oster
23 Health. And then more recently worked in
24 national medical groups focused on vulnerable
25 patients covered through Medicare and Medicaid
26 such as Caremore Health and now my role at

1 CenterWell Primary Care. CenterWell Primary Care
2 is the senior-focused primary care group under
3 the umbrella of Humana, and we serve about
4 300,000 seniors nationally across almost 300
5 clinics.

6 So I thought I'd start with a little
7 bit of satire from Mark Twain because in all of
8 the environments I've worked in, we're awash with
9 data. We have claims data, clinical data. We
10 connect to health information exchanges like
11 Krishna mentioned. And we surface all of it
12 through operational dashboards and actuarial
13 analyses so that we know what our patients need,
14 both prospectively and retrospectively as much as
15 possible. But as Mark Twain suggests here, data
16 can be like garbage. If you collect it and don't
17 know what you're going to do with it, it starts
18 to smell, particularly to clinicians. So how we
19 use data to drive in certain action and
20 ultimately to improve patient and caregiver
21 experience is what's most important.

22 Go to the next slide. I thought I'd ground
23 us quickly in how groups like ours try to achieve
24 the quintuple aim and bring it into action and
25 visibility for our clinicians and caregivers. We
26 are entered on a balanced scorecard, something

1 like this, not exactly like this, that includes a
2 set of metrics and goals that cover broad domains
3 of population imagery. In this example, you can
4 see population engagement. How well are we
5 engaging our panel's primary care patients?
6 Sometimes you can look at it as just did
7 everybody have their annual comprehensive exam?
8 And then you can also do deeper cuts around
9 specific segments of your primary care panel for
10 people who you may need to see more frequently
11 and assess whether that's happened.

12 It's also very important when you're
13 growing or when there's churn so that you can
14 make sure that new patients are getting access to
15 timely and comprehensive care. In addition, this
16 helps us identify unengaged patients who often
17 face structural and other barriers to accessing
18 primary care and allows us to develop clinical
19 interventions that helps us meet them where they
20 are. Obviously with the support of the data
21 interoperability that Krishna and Dana mentioned.

22

23 Secondly, patient experience, which
24 I'll expand on in the following slides. Many
25 groups like ours actually orient around net
26 promoter score. As you all probably know, this

1 is a simple customer loyalty metric that spread
2 from other customer service industries into
3 health care. Patients get an anonymous survey to
4 fill out after a clinic appointment. They rate
5 how likely are you to recommend this practice to
6 family or friends on a scale from 1 to 10 with 10
7 being great? And you only count the 9s and 10s.
8 And then you subtract for people who score 6 or
9 below. So it's a very harsh discriminating total
10 score around the likelihood to recommend your
11 practice. And it can be very controversial for
12 clinicians because patients view their experience
13 very holistically and rightfully so. So they may
14 love their clinician, but they may struggle with
15 the phone system or how long the referral to the
16 specialist is taking or parking or if they came
17 late, could they still be seen? So these are the
18 issues that when you surface this data and start
19 to use it, come up with your practice team.

20 Obviously everyone here is very
21 familiar with clinical quality. We are asked to
22 deliver excellent HEDIS STAR performance and for
23 preventive and chronic care. So practices like
24 ours strive for and often achieve 4.5+ stars
25 HEDIS performance on the metrics that you all
26 know well.

1 And then thirdly, we look at obviously
2 population total cost of care in clinical
3 utilization. So we will look at trends, set
4 polls, or ranges around things like avoidable
5 hospitalizations, ER visits, and readmissions
6 because those are major utilization factors that
7 contribute to total cost of care and that we know
8 we can improve with a strong, comprehensive, and
9 continuous dose of comprehensive primary care.
10 And then lastly, just like in fee-for-service,
11 there's some notion of productivity, in our world
12 it's often engaged panel size. That is the basis
13 by which we think about moving the needle on
14 population outcomes.

15 So just to summarize this slide, this
16 is an example of how a balanced scorecard is used
17 in a total cost of care-oriented primary care
18 practice under full risk. Patient experience is
19 one component and very important and equally
20 weighted, but it is not the only one because we
21 can only give people so much to absorb and act
22 on.

23 And then secondly, I just note that
24 groups like ours often create a bonus program
25 around scorecards like this with the additional
26 bonus of somewhere between 10 or 15 percent of

1 the clinicians' fee salary, up to 20 or 25
2 percent. And I'd say anecdotally, that is the
3 size of incentive needed to get the attention,
4 alignment, and teamwork that is needed to really
5 change what's happening for patients.

6 If we could go to the next slide. So
7 I hinted at this, but you can't talk about
8 patient and caregiver experience without talking
9 about customer service. It is the same thing in
10 many ways to patients. So as other service
11 industries sort of advance and build much higher
12 customer service orientation, they've developed
13 online access, real-time feedback that's publicly
14 visible, personalized communication, and the
15 operational wherewithal to create closed feedback
16 loops so that you know that your need has been
17 served and sometimes even how long it's going to
18 take and who's going to deliver on it. Think
19 about Door Dash versus what we do in health care
20 right now.

21 So I give you three examples here of
22 how many groups I've worked with use what's out
23 there to learn what patients or customers are
24 actually saying. So let's start with the left,
25 which is Google reviews. If you have a practice
26 site listed on Google, you want it to be on

1 Google Maps, and you get these reviews whether
2 you want them or not. So the question is what we
3 do with them. And you see that sophisticated
4 customer-oriented, patient experience-oriented
5 groups. Make sure that there's comprehensive
6 orientation about the practice online, what's the
7 right phone number, what are the open hours? Is
8 there virtual care access? Is the website
9 updated? Is the location right?

10 And then they actually curate and
11 monitor and respond to individual patient
12 feedback. And so either through the clinic
13 manager or for large groups like ours, sometimes
14 whole-scale service response teams, we look at
15 these on a regular basis to learn, to act, to
16 repair, and to become better.

17 In the middle category, I mentioned
18 net promoter score previously, we partner with
19 groups -- groups like ours partner with third
20 party platforms and tooling systems like NRC
21 Health or [unclear] to conduct the kind of net
22 promoter score service I mentioned. We look at
23 this very intensely. We look at trends. We look
24 at how we vary clinic to clinic. We compare
25 ourselves to benchmarks available through these
26 platforms from other like groups because they're

1 very heavily utilized.

2 We also deliver to our clinicians on a
3 monthly basis, their personal scorecard and
4 patient comments, most of which is very positive.
5 As they're often clinicians that see these kind
6 of comments, they're very good about them. But
7 I'll tell you, when you have a negative or
8 unreasonable comment, it will really stick with
9 clinicians because they feel like they're trying
10 to do their best. And sometimes the things that
11 come up are outside of their control. These
12 comments and rating systems often populate our
13 own internal practice provider directories that
14 we publish on our website so that when people are
15 seeking care, they can see the comments and
16 feedback for each individual clinician. And many
17 times, patients look at those, and they look for
18 something that stands out to them, that allows
19 them to feel a personal connection when selecting
20 the PCP that they want to see for their ongoing
21 care.

22 And then lastly, every organization
23 I've been a part of that's very customer patient
24 focused, listens to and monitors the quality of
25 calls. When people aren't getting what they
26 need, they call. They call the practice, and

1 they hope someone will help. So the more
2 advanced you are, the more you look at your --
3 not just your call responsiveness, the length of
4 time of the call, you know, did you complete the
5 call? How did they rate you afterwards from the
6 call?

7 But you actually build deeper
8 analytics to understand what types of issues are
9 coming up with what frequency and volume. And
10 you can imagine the kind of calls that came to
11 practices as COVID hit. And if groups are
12 monitoring that, they knew -- they knew that
13 there was an unusual respiratory illness causing
14 serious issues and lots of things happening in
15 the health care ecosystems that were not well
16 understood. So if you want to find a patient
17 experienced focused medical group, look for what
18 they're doing with calls and what patients tell
19 them when they call.

20 Go to the next slide. So I'll pivot a
21 little bit to CAHPS, not to explain CAHPS
22 obviously to this group. We all live within the
23 world of CAHPS surveys. Obviously the surveys
24 required for all Medicare Advantage contracts.
25 There's a different survey that's very similar
26 for future service beneficiaries. There are

1 three main issues with CAHPS from my perspective.

2 First, the questions are spread out
3 and combined across health plan and practice or
4 medical group areas of responsibility. It's a
5 combined effect, and there are different things
6 that obviously each party does, but it's an
7 overall survey that covers both.

8 Second, the survey results are months
9 delayed, not real time such as the customer
10 service insights I noted in the prior slide.
11 This disallows or disables the practice from
12 taking real-time or near real-time action,
13 iterating and solving issues as they emerge.

14 Third, the surveys are required in
15 some places like Medicare Advantage, but not all.
16 And there is not a national uniform required
17 medical group-oriented CAHPS like survey or tool
18 that would allow us to look at how we're trending
19 around patient service and experience factors,
20 care coordination, all the factors here as a
21 practice over time across all of our patient
22 populations.

23 So ultimately, CAHPS delivers crucial
24 information, but it is not timely or specific
25 enough from the medical group perspective to
26 drive the type of action that I talked about on

1 the prior slide.

2 Let's go to the next slide. Obviously
3 there's a lot of ongoing work and innovation as
4 Dr. Safran and Krishna mentioned. I've been
5 personally thrilled as a physician who's had to
6 rank teams around quality and population health
7 outcomes to see a push for the universal
8 foundation and quality framework from CMS. There
9 have been too many metrics, too much variation,
10 too much selectivity, and obviously the
11 fragmentation that Dana and others mentioned.

12 However, I would say while there's an
13 adult and pediatric version of the universal
14 foundation proposed, there's not a senior-
15 specific one proposed yet that I've heard about.
16 Seniors need a primary care home that delivers
17 Barbara Starfield's four C's of primary care.
18 First contact, comprehensiveness, continuity, and
19 coordination. In this framework, the CAHPS
20 survey could be substituted by something like the
21 person-centered primary care measure, which is a
22 simple validated eleven-question survey advocated
23 for by the AAFP and others that really assesses
24 someone's relationship to their primary care
25 clinician and primary care practice. And their
26 sense of whether they're -- whether they're

1 getting the responsiveness that they need.

2 In addition, a universal foundation
3 around quality that's senior-specific could
4 incorporate the five M's of geriatric care over
5 areas such as medication complexity, mind,
6 mobility such as fall risk, altered complexity,
7 and crucially what matters most. Because as
8 health issues stack up, dysfunctional issues
9 stack up. You have to pick what's most
10 important. They cannot all be fixed. And so we
11 need frameworks like the five M's to help orient
12 around what's most important for patients to
13 drive the experience and help outcomes that
14 they're looking for.

15 Additionally, CMMI has advocated for
16 incorporation of patient-reported outcome
17 measures. I would just say I hope we learn from
18 the lessons to date, and we don't allow too much
19 selectivity across different payment model and
20 pilot programs, that we keep moving towards
21 national practice area-specific metrics and
22 balanced scorecard type approaches.

23 So if we go to the next slide. I
24 won't say all this. It's a lot of text. I've
25 covered a lot of it already. But again just to
26 summarize, it's a -- we had a tremendous

1 opportunity to create national reporting
2 alignment around patient and caregiver
3 experience. And to deliver it at the union of
4 operations that actually matters, which is the
5 practice. And to allow medical groups and
6 practices to learn from those insights that can
7 be as real-time as possible. And to drive
8 intervention, process changes, and programmatic
9 changes, they need to deliver on the modern
10 expectations of patients.

11 We should take a balanced scorecard
12 approach, including consideration of a senior-
13 specific universal foundation for the reasons
14 that I mentioned before. And by moving to this
15 approach, we can equip that the data we collect
16 is not garbage as Mark Twain warned us. It is
17 useful. It is well placed. It creates real
18 action and uniform movement towards the
19 experience all of our patients deserve. Thank
20 you.

21 CO-CHAIR HARDIN: Thank you so much,
22 Vivek. I know, another really interesting
23 presentation. Committee members, please be
24 capturing your questions so that we can dive in
25 as soon as we're finished with this next
26 presentation. I'd like to welcome Dr. Sai Ma who

1 is the Director of Enterprise Clinical Quality at
2 Elevance Health. Please go ahead, Dr. Ma.

3 DR. MA: Thanks for inviting me today
4 to provide some thought about selecting health
5 equity measures for population-based total cost
6 of care models. During my previous experience at
7 the CMS, CMMI, NQF, and in the private sectors, I
8 would like to provide some technical
9 considerations concerning metric selections for
10 health equity.

11 So here's a summary of some key points
12 that I'm going to touch on today. Stratification
13 is a first step to identify disparities, but it
14 does not identify root causes. It's a great
15 start. And I will touch on briefly about how do
16 you stratify has implications on preventing
17 unintended consequences.

18 And the second point I want to make
19 today is health care equity contributes to health
20 equity, but they are not interchangeable.
21 Terminology is important, and I would love to
22 provide some thought about -- to distinguish
23 between health care equity and health equity.

24 And finally, I will touch on providing
25 a roadmap to identify root causes and how do you
26 take action and provide -- maybe provide some

1 insight to inform your budget allocations.

2 All right, so we can move on to the
3 next step. Health equity has become a
4 fundamental priority for policy makers and for
5 the entire industry leaders. To date, most
6 efforts have been focused on stratifying existing
7 measures. And I would say stratification is the
8 first step to the right direction because to
9 improve health equity, we first need to call out
10 where the disparities are, and stratification is
11 a great way to do that. For example, NCQA has
12 implemented a race/ethnicity stratification for
13 several HEDIS measures. CMS is implementing the
14 health equity index, HEI, for Medicare Advantage
15 programs.

16 I want to point out -- maybe it's too
17 basic, but methodology is really important.
18 There's several critical methodological
19 considerations and choices for stratification.
20 And depending on the choices you make, you might
21 get to the different conclusions, and it will
22 inform your program design differently.

23 Given the time limitation today, I
24 would only focus on two really important
25 considerations. The first one is risk factors
26 can be interactive. Right now, a lot of the

1 stratifications only concern one single category
2 at a time, whether it's race, ethnicity, rural
3 versus urban, for example. But one risk factor
4 looking at that at one time can misguide us
5 because the risk factors could be interacted.
6 Meaning that the impact of one factor could be
7 magnified or mitigated by another factor.

8 If you'll look at the figure on the
9 right, if you only look at difference between
10 race, ethnicity, or different racial groups, you
11 might conclude that the Black individuals need
12 the most help. But when we further stratify the
13 population by dual eligibility for Medicare and
14 Medicaid, which often is used as a proxy for
15 social economic factors, you would conclude that,
16 that the white dual members are just in as much
17 need as Black dual members.

18 The second technical consideration I
19 would call out for attention is within versus
20 between disparities. Very often when we talk
21 about disparities, we're comparing two groups,
22 whether it's racial groups or again, urban versus
23 rural or other groups. We're really just looking
24 at the average difference between two groups.
25 However, I would say within each group, we also
26 have high-performers and lower-performers. If

1 you're not looking at within group, you're
2 missing out a lot of population and members in
3 need.

4 So for example, on the right side of
5 this figure, we look at within group disparities
6 and again, white dual members, as well as Black
7 dual members have a lot of members in the lower
8 end, and they need attention. And how you look
9 at the data again, informs how you design your
10 programs and where you are allocating your
11 resources.

12 And on the next slide, I would want to
13 talk a little bit about the difference about
14 health care equity versus health equity. So as I
15 mentioned before that both health care equity and
16 the societal structure equity contribute to
17 equitable health outcomes. And I think for
18 today's discussion, I think it's probably in a
19 lot of people's mind that I'm just one health
20 care organization or one health plan. I have
21 limited funding to improve health equity. Where
22 do I start?

23 The question I often heard is we don't
24 want to boil the ocean. Where do we start at,
25 and how do we allocate our limited resources?
26 This roadmap framework is aimed to provide that

1 kind of distinction between health care versus
2 health equity. I think for this audience, we
3 probably are very familiar with what health
4 equity is. Health equity means that everyone has
5 a fair and a just opportunity to attain their
6 highest level of health. However, I would
7 mention that equitable health is a result of a
8 broad spectrum of individual, as well as societal
9 factors that are experienced over one's lifetime.

10
11 Often used interchangeably with health
12 equity, health care equity more narrowly
13 describes equity in the experience of accessing
14 and interacting with the health care system and
15 the organizations. Health care equity more
16 directly examines whether a patient has equitable
17 access, receives equitable care, and has
18 equitable experience along the care journey.

19 And we provide you three criteria for
20 you to consider when a measure has fallen into
21 the health care organizations purview. Is this
22 measure -- equity measurable an individual level,
23 whether is at patient level or provider level.
24 And whether or not this inequity is proximate to
25 health care outcomes. And then finally, if it's
26 actionable.

1 If you apply those criteria in equity,
2 and it falls in -- you know, checks all the
3 boxes, I would say it definitely falls into the
4 health care equity - health care organization's
5 purview and that you should be doing something
6 about it to improve the equity. And if it falls
7 outside of one organization's purview, for
8 example, a lot of the organizations have spent
9 resources improving community health, community
10 resources. For example, tackling food desert.
11 That's a great admirable activity, but do you
12 want to use that to set a goal to measure a VBC,
13 value-based care arrangement, probably not --
14 it's probably not the best measure to use.

15 If we go to the next slide just to
16 take the message home. Again, the way to use
17 this roadmap is identify an outcome you wanted to
18 advance equitable outcome on the right side. Tie
19 that to payment. And then walk it back along the
20 care journey to think about along the way, how do
21 you -- how you can improve this outcome, whether
22 it's in the prevention access area, is it
23 transition health care? Is it to improve quality
24 health care? Or maybe there's something you can
25 do in the post-discharge phase. You can use this
26 roadmap to help diagnose root causes along this

1 care journey. And looking at your KPI⁴³'s
2 operational measures internally or looking at
3 published research to help you identify where the
4 disparities are along the way. And help those to
5 improve your process along the way, but we would
6 not advise using those process measures to tie to
7 payment. To Dana's point earlier, we wanted to
8 tie payment to the big dot measures for person's
9 outcome, not being too prescriptive, how do you
10 provide that along the way?

11 So to close out my portion of
12 presentation about Elevance Health, we have
13 developed the Whole Health Index measure to
14 understand the individual needs better to support
15 and improve an individual's health. The Whole
16 Health Index is a comprehensive measure to
17 encompass all the measured drivers of health.
18 We're currently testing to see if we could use
19 that measure to evaluate our health care
20 organization's ability to address all measure
21 drivers. We will be happy to share the results
22 as when they come in for progress.

23 That is it for my portion. Thank you.

24 CO-CHAIR HARDIN: Thank you so much,
25 Dr. Ma. That was again another really

43 Key performance indicator

1 interesting presentation. So Committee members,
2 please have your questions ready. I'll kick us
3 off with one, and then we'll dive into comments
4 and questions from the Committee.

5 So this is a question for everyone in
6 the group. What are the major challenges
7 providers and health care systems experience with
8 implementing performance measures in population-
9 based total cost of care matters? Who would like
10 to start?

11 DR. GARG: I'm happy to offer two
12 quick thoughts related to the balance scorecard
13 framework I shared earlier. The first is
14 clinicians want to look at their metrics across
15 all their patients. So creating alignment and
16 uniformity across different peer models or
17 programs allows them to think about patients in
18 the way they think about them, which is what is
19 their clinical need? What's their context? What
20 are their goals? So that is a challenge
21 obviously, and one of the reasons groups like
22 ours built massive data infrastructure to
23 immigrate the data as much as possible to present
24 one uniform group.

25 And that relates to my second point,
26 which is explainability. Every metric I shared I

1 have to explain to my clinical team. And they,
2 with the significant waiting on their bonus,
3 which creates aligned incentives about the
4 population management focus come, how accurate is
5 this data? Which is logged, and which isn't from
6 different payers or partners like Great Plains
7 and send back information? Is it accurate or
8 not? So at the end of the day, our clinic teams
9 become very savvy at understanding where the data
10 feels off or not. And they recognize that behind
11 it is a lot of data interoperability that they
12 wish that didn't have to exist.

13 And then they also need to understand
14 these metrics. So actually in our onboarding in
15 my current group for new primary care physicians,
16 part of our onboarding is about explaining this
17 type of balanced scorecard. Many doctors who
18 join us have never practiced in a value-based
19 care environment. They have not had much data or
20 experience looking at the cost and utilization
21 trends for their population or drilling into
22 things like specialist referrals from a different
23 vantage point. So explainability and
24 defensibility and data integrity and real-time
25 data all matter for them.

26 DR. SAFRAN: I'll build on -- I'll

1 build on those perspectives from Vivek. And I
2 draw here from my experience over the 12 years of
3 Blue Cross Mass overseeing implementation. So in
4 addition to the really important points that
5 Vivek has named, I would name a couple additional
6 ones. One is the opportunity to actually plan
7 for improvement on the measure set that you're
8 accountable for really requires that you have
9 enough time to -- before the measures are going
10 to change or the benchmarks are going to change.

11 And I think that's a critical piece. And in
12 fact, something that I worked hard for my seat at
13 MedPAC to advise. And that, you know, I think
14 MedPAC put forward as recommendations that in
15 multi-year contracts including, you know, multi-
16 year models of CMMI would put forward, but CMS's
17 other programs, private payer programs, multi-
18 year contracts should allow the measure set to be
19 fixed and the performance targets to be fixed so
20 that those who are accountable for that can
21 really plan their improvement journey and
22 strategy.

23 And in addition to that -- and you saw
24 this on one of my slides -- having those
25 performance targets be set in absolute terms, not
26 relative terms means it's very motivating because

1 your success is not impeded by somebody else's
2 success. And therefore, it's very motivating and
3 in fact, promotes best practice sharing because
4 your success will not impede my success.

5 A second point I would make about the
6 key challenges for providers is knowing who can
7 drive the improvement internally. And that might
8 be different. So let's say we have it in a
9 measure where the accountability is at the
10 organizational level. How do you know internally
11 who can actually drive success on that? Is it
12 primary care, within primary care? Is it the
13 role of our pharmacists, our social workers, our
14 nurse practitioners, our physicians? How do we
15 actually have a framework for that and create the
16 internal incentive structure and measurements
17 that support the external accountability for
18 measures and targets and improvement?

19 A third is, you know, is it worth it?
20 Right? And so many of the value-based payment
21 programs today put very, very little money on
22 quality. There is the shared savings opportunity
23 and whether that's two-sided risk or one-sided
24 risk. The quality measures are very often just a
25 gate to the accessing of shared savings. And my
26 own hypothesis as, you know, a recovering social

1 scientist in 20 years of my life is that, that's
2 one of the reasons that the Blue Cross
3 Alternative Quality Contract saw very, very
4 significant gains in quality and outcomes
5 documented in a series of articles in Health
6 Affairs and New England Journey of Medicine and
7 others, and others where most initiatives find,
8 you know, impacts, if any on the cost side. Very
9 little on the quality side when the AQC offered
10 very significant payouts for quality performance.
11 And that, I think was differentiated and is
12 something we have to think about because we know
13 that if the juice isn't worth the squeeze, that
14 you know, all the effort with especially the
15 cacophony of measures will stand in the way.

16 And then the last piece, I would just
17 underscore something that Vivek said, which is
18 from my experience, we really had to help our
19 provider network with data to understand where
20 might there be waste that would help them
21 identify opportunities for shared savings? The
22 quality measures, assuming they were motivated to
23 improve them by the sums of money and a view of
24 fairness, et cetera, they pretty much knew how to
25 work on those. But you know, mostly they had not
26 previously been in a contract that made them

1 accountable for total cost of care. In fact,
2 quite the opposite, as one hospital CFO said to
3 me in a negotiation. You're changing the game.
4 Like the way I used to win was do more as complex
5 as possible, that's how we make money. Now
6 you're turning that on its head. You have to
7 tell me: how can I possibly do that? You know,
8 think of it as I'm a kid that doesn't know how to
9 swim. Tell me how I, you know, hold my breath,
10 flap my arms, kick my legs. So I think that
11 support around how to find those opportunities
12 for savings is really important. Thanks.

13 MR. RAMACHANDRAN: Great perspective.
14 I guess what I would add, and I think there's
15 definitely a plus-one to many of the comments
16 there, lots of alignment there. I guess from my
17 perspective, I think most providers I've seen are
18 using a balanced scorecard approach like what
19 Vivek was talking. Mostly where -- to get to the
20 quintuple aim, we have to. There's so many
21 dimensions to balance. And so there is always a
22 higher volume of measures built in. And so where
23 we can really create alignment to reduce that.
24 So it's still a balanced scorecard, but it's a
25 manageable balanced scorecard. Because obviously
26 if you had a scorecard with 200 measures, it's

1 not very balanced. It's sort of like a death by
2 paper cuts. And so how do you, you know, create
3 some alignment, I think is one. And I think
4 we've touched on that topic in the course of our
5 comments as well.

6 And then Dana's comment on the
7 actionable insight. The way, particularly the
8 cost of care I think is resonant as well because
9 many of our providers in my past life and other
10 payers would hey, we signed an agreement. We
11 don't know how to bend the cost care. Like teach
12 us how. Serve us up the insight, serve us up
13 the methods to do it in a way that -- in a
14 timely, meaningful, accurate. And so that
15 philosophy suddenly, you know, in quality, I
16 think it's the easier one to get their arms
17 around and certainly be cost, which is trickier
18 as well. So those are two perspectives from my
19 perspective.

20 DR. MA: Yeah. I would add really
21 quickly that the scorecard is a great approach.
22 And from our experience because we have our line
23 of business would have Medicare, Medicaid,
24 commercial, exchange, and some other -- some more
25 line of businesses -- what we have seen is not
26 oh, I would have too many measures. The measure

1 specifications are required by different
2 regulators, very different. So even though the
3 measure concept might be the same about blood
4 sugar control, for example, some states might be
5 requiring different cutoff from Medicare, from
6 Medicaid, or they use poor control versus good
7 control. So the measurement alignment itself, I
8 think cannot be understated how important it is,
9 you know, in addition to reducing the number of
10 measures.

11 CO-CHAIR HARDIN: So helpful. I'm
12 going to turn it to the Committee for questions.
13 Jim.

14 DR. WALTON: Thank you, very nice.
15 Thank you, each of you for coming this afternoon
16 and helping us out and sharing your thoughts. I
17 had a question for Vivek. I'm particularly
18 interested in the topic of primary care clinician
19 burnout. I'm curious about what you think and
20 your experience has been -- and other members of
21 the group too, but you mentioned the net promoter
22 score and particularly, measuring the outcomes
23 from patients. But I'm curious about whether or
24 not you've considered in the past or you consider
25 for the future, asking your providers to give you
26 a net promoter score for your system as a proxy

1 or an indirect measure of maybe how they might be
2 struggling with the system. And the system's
3 support for them and their patients that then
4 would lead to lower net promoter scores for their
5 particular patients. Do you follow my logic
6 there? Okay. And so I'm just going to leave it
7 there and see what you have to say.

8 DR. GARG: Well, I'll tell you no
9 issue is clear to us or a group like us when your
10 patients and your doctors are saying the same
11 things in their different feedback mechanisms,
12 and you know you have to focus on it. And so we
13 do have an annual team member survey that's more
14 general. And actually in our current group,
15 we're actively assessing options out there that
16 are much more clinician-specific to assess things
17 like how easy is it for you to trigger the next
18 step in care within the team or outside of the
19 team? How well does your practice handle
20 different service and operational issues like
21 referrals or calls? Do you feel like, you know,
22 you can be sustained in your practice? You know,
23 the burnout survey questions or things like that.

24 And so many groups like ours obviously
25 do implement tools like that. Our group just
26 happens to be a process for assessing them to go

1 from a general annual employer-associate
2 experience survey to one that's much more
3 clinician-specific for the reasons you mentioned.
4 And through actually partner organizations like
5 Press Ganey or NRC or other platforms like that,
6 they can provide both sides of the equation, in
7 addition to benchmarking from geographic groups,
8 and you're seeing geography or groups in your
9 area of practice - you're a gastroenterology
10 practice, you can look at other gastroenterology
11 practices. That's a really crucial point.

12 DR. SAFRAN: If I could just comment
13 on that, Jim, one additional thought. I don't
14 know whether by your question, you were
15 considering a kind of provider NPS⁴⁴ as an
16 accountability measure from the payer. There I
17 would be concerned because I think the providers
18 would feel quite a lot of pressure to help their
19 system look good on the measure. And I don't
20 have data on specifically NPS alignment between
21 patients and providers, but it sounds like Vivek
22 might. And if you want an accountability
23 measure, I think the patient NPS will probably
24 tell you quite a lot about the provider NPS and
25 is much less gameable. So just a few thoughts to

44 Net Promoter Score

1 add in there.

2 CO-CHAIR HARDIN: Let's go to Larry
3 next.

4 DR. KOSINSKI: As someone who has sat
5 on specialty boards for years and currently sits
6 on the American Gastroenterological Association
7 Governing Board, we spend an enormous amount of
8 money developing guidelines and measures. And
9 it's very frustrating when we try to get them
10 into action through the NQF process and then get
11 them into the EMRs⁴⁵. It's very frustrating. I
12 mean I think we spent last year in 2023, spent a
13 half a million dollars on developing four
14 guidelines. I was really impressed by both
15 Krishna and Dana commenting on how we need
16 harmonization of these measures. And I was
17 really impressed by Dana's Slide No. 6, Aligned
18 Innovation. Those are all the players that need
19 to be put together to move this thing forward.
20 But you only have four medical societies. We
21 have to figure out a way to get more of the
22 medical societies on board and harness the
23 strength of all of those societies together so
24 that we're pushing out a harmonized set of
25 measures that then can be implemented. I'd love

45 Electronic medical records

1 to hear your comments.

2 DR. SAFRAN: Yeah, thank you. Thank
3 you so much, Larry. And, I couldn't agree more.
4 I should have made it more clear that the four
5 that you saw on that slide are explicitly for
6 this first cycle of work where we're focused on
7 behavioral health measures and maternal health
8 measures.

9 But, for every cycle of work, as we
10 focus on other clinical areas, we have the
11 appropriate specialty society partners.

12 So, that's an absolutely critical part
13 of this work. Is making sure that the profession
14 feels that the measures will have value, will be
15 fair, will be feasible.

16 CO-CHAIR HARDIN: Committee members,
17 any other comments? Questions? Jen.

18 DR. WILER: I want to thank each of
19 you for your presentations. It's been a
20 wonderful discussion.

21 My question is going to be for Dana
22 and Dr. Ma. We talked a lot today about, and
23 you've already addressed it, but I want to just
24 go a little bit deeper, around the administrative
25 burden and feasibility.

26 So, for the NQF process, you talked

1 about how, including the EHR vendors as one
2 important stakeholder in the proactive measure
3 development space is one way to tackle that.

4 But, what I'm thinking about is, can
5 you talk more, not just around reporting of
6 measures, but when we hear from our other
7 panelists, how critically important analytics is
8 to driving change. How are you thinking about
9 that aspect?

10 Because we heard some information in
11 the previous session around, you know, one
12 practice's costs related just to software
13 solutions, again, to collect data. And, that
14 analytics has a separate, you know, fiscal note.

15 And, my follow-up question is then
16 going to be, then how does this cascade from a
17 feasibility and cost perspective, into this
18 complicated space that has yet to be fully
19 developed around defining what equity looks like?

20 And, what is the cost burden going to
21 be, for not just reporting, but then analytics
22 related to that space?

23 And, I'd say PROMS are in that other
24 undeveloped space.

25 DR. SAFRAN: Thanks for your question,
26 Jen. I'll answer this from two perspectives.

1 The first perspective is what I'll call the
2 analytic tools that we need to inform clinical
3 decision-making and clinical practice.

4 And then, there's the population level
5 analytics. So, let's take those in pieces,
6 right?

7 So, I think that the analytics needed
8 to inform the individual clinical decision-making
9 really are, they ought to be ideally part of the
10 requirement of the EHR vendor. But,
11 increasingly, we do see other solutions that have
12 to then be married in and used in that way.

13 I'll share the example with Aligned
14 Innovation. The behavioral health measures that
15 we're developing that I talked about outcomes for
16 depression/anxiety with children, adolescents,
17 and adults, are going to be patient-reported
18 outcome measures.

19 So, a PRO-PM⁴⁶ is being developed for
20 each of those three age groups. And, we know
21 that PROMs implementation has been one of the
22 greatest pain points for practices.

23 And that, you know, slowly, slowly,
24 the EHR vendors are starting to incorporate it.
25 It would happen much faster if payers

46 Patient-Reported Outcome-Based Performance Measure

1 increasingly started to demand the use of PROMs,
2 because then there would be the business case for
3 the provider of why they need the EHR vendor to
4 enable those capabilities.

5 But, so what we did in the meantime
6 was to hire one of a number of solution vendors
7 that are out there that are facilitating not only
8 making it easier to collect the PROMs and get the
9 resulting data into the clinical record, to
10 trigger the longitudinal follow-up that needs to
11 happen with PROMs at the right moment so you
12 don't need, you know, some human being in the
13 practice remembering, oh, it's time for, you
14 know, for us to send Krishna his survey.

15 And so, all of that is happening.
16 But, one of the things that's really powerful and
17 gets to the first part of my answer to your
18 question, is they are also sort of having
19 analytics that create a data display of those
20 PROMs for the patient over time, with benchmarks
21 about other patients like this patient. And,
22 even indications of how that score changed when
23 you, as a clinician, changed the dose of medicine
24 or did this other intervention.

25 And, that's -- think about how
26 powerful that is as a tool. And, how motivating

1 that is for clinicians in terms of the use of
2 PROMs.

3 Because suddenly, you know, the
4 barrier to PROMs adoption that has been about, I
5 don't know what these numbers mean or what I can
6 do about them, starts to be addressed.

7 So, that's answer -- the first part of
8 my answer is, we need analytics that are at the
9 individual patient level and that kind of enable
10 clinical decision-making.

11 And, I think those are happening
12 through the EHRs. But, they're also happening
13 through solution vendors and, you know, I'm
14 foreseeing them all to compete to see who can
15 create the solutions that providers want the
16 most.

17 On the population level analytics, I
18 think some of our conversation today highlighted
19 one of the biggest challenges, is, when we have
20 that misalignment across payers, then you need
21 different analytics for the data sets from every
22 provider. Right? From every payer, rather.

23 So, I could tell you about the
24 fantastic analytics that my team at Blue Cross
25 did for our providers in the AQC, and they did.

26 But, we know that what providers want,

1 and I think it was Vivek who said it, but it
2 might have been Krishna, is, to see their entire
3 population of patients that they serve on these
4 measures. And then, be able to parse it by
5 payer, et cetera.

6 And for that, there's some efforts
7 underway to create what we're calling measure
8 model alignment. Which is, not just alignment on
9 the measures used, but alignment on sort of the
10 data that's being collected, the compiling of the
11 data, the analytics, and so forth.

12 So, I think, experimenting in certain
13 markets where, for example, in Krishna's market
14 where you already have multi-payer alignment and
15 purchaser alignment with their providers and
16 doing the same measures used in the same ways,
17 getting the trial of multi-measure model
18 alignment around analytics, is going to really
19 help show us the value of that.

20 So, I know that was a long answer. I
21 apologize. I hope it was a useful one.

22 CO-CHAIR HARDIN: Any other comments
23 from our presenters on that one?

24 All right. Committee members, any
25 other questions or comments?

26 I'll add one then, so, Dr. Ma, you

1 went very deep into really interesting
2 information about health equity and equity-
3 related measures.

4 There's a lot of interest nationally
5 in, what are essential measures that should be
6 considered for equity?

7 And also, what measures are you seeing
8 that are having a larger impact on improvement in
9 health equity that you would make recommendations
10 to consider?

11 And, I'd like to hear from each of the
12 panelists on that question.

13 DR. MA: Yeah. I can start. I should
14 mention that, you know, most effort to date is
15 stratifying existing quality and outcome
16 measures.

17 But, there are some direct measures
18 that are in place to directly measure the root
19 causes, whether it's health literacy, or food
20 insecurity, or transportation barriers.

21 So, one of those measures that is a
22 little bit further along than others, is the
23 social driver screening tools that NCQA and CMS
24 are pushing forward for providers and the payers
25 to collect those information, health-related
26 social needs.

1 So, I think, for -- at the minimum,
2 it's a greater way to break into understanding
3 numbers of social drivers. And, that there are
4 other very specific health equity direct
5 measures, whether it's for access to care, or
6 patient engagement, or culturally competent care.

7 I think one opportunity I would point
8 out is, like what NQF has been doing for
9 measuring the evaluating and endorsing quality
10 measures. We need a parallel process of
11 evaluating those health equity measures.

12 And, I'm not aware there is a process
13 evaluation endorsement process in place now.
14 And, I would call for the industry and government
15 to regulators alike to think about what kind of
16 process can be put in place quickly.

17 Because, you know, for those measures,
18 we still need valid tools. So, we need some
19 endorsement process in place.

20 And lastly, one of your questions is
21 about best practices. I would tie back to the
22 point that everybody made earlier, that workers'
23 outcome measures pay for outcome measures.

24 The reason being, I was looking at a
25 hypertension control, for example, if you look at
26 which members or individuals were recommended for

1 lifestyle modifications and a medication
2 treatment, the racial disparity is not huge.
3 It's only about four percentage points between
4 white and Black individuals.

5 However, if you look at for those
6 members who get a similar recommendation, what
7 their uncontrolled rate looks like, the racial
8 difference becomes 11 percentage points
9 difference.

10 So, I think, you know, if we are going
11 to tie payments to quality outcomes, let's pay
12 for what matters for members the most and not,
13 you know, process measures. Let the providers
14 figure out how to input outcomes.

15 DR. GARG: I'm happy to add a few
16 quick thoughts. First, I would just note the
17 universal foundations, inclusion of universal
18 screening for health-related social needs for
19 patients is really an example of a great step
20 forward.

21 In some of the organizations I've
22 worked in, when we've done surveys, and Humana's
23 published through its Bold Goal and SDOH⁴⁷
24 initiatives, analyses that show that the burden
25 of health-related social needs is very high

47 Social determinants of health

1 across Medicare and Medicaid populations. They
2 may differ in which is most prevalent, which
3 factors are the biggest gaps.

4 But, it is 40 to 50 percent of seniors
5 are low-income folks on Medicaid. And, it is a
6 very high number as we all know. And so, the
7 more we look, the more we will find.

8 And then, the question is, what is the
9 impact on outcomes? That's the first next
10 question.

11 There are a lot of operational
12 challenges to appropriately stratifying by race
13 and ethnicity. And, I'm sure Dr. Ma's team
14 spends a lot of time to ensure that we're
15 accurately capturing people's racial and ethnic
16 backgrounds. And, there are many structural
17 barriers to that that single organization teams
18 have to work through.

19 When you look at outcomes stratified
20 by race and ethnicity and income, the differences
21 have been known for decades.

22 And, then the question becomes, so you
23 can shine a light on it. And then the question
24 for a group like ours is, what can you do about
25 it?

26 And so, obviously there are things

1 that are within a medical group's purview to do
2 within our capability set. There can also be a
3 lot of sense of futility within clinicians.
4 Especially in primary care about what else can we
5 do?

6 And, we have a model where we have
7 social workers, you know, community health
8 workers, connections to community-based service
9 organizations. We use in Aunt Bertha.

10 We use all the tooling and resourcing
11 we can find. We invest in it. But, there's
12 still a limit to what you can do alone.

13 And so, then I would say, what can we
14 do at the community level through the broader
15 constructs, whether it's payment or how we cover
16 different populations, to maybe adopt a similar
17 approach to galvanize resource and attention
18 across lines of business, across payment
19 programs, to solve the structural issues that
20 create these health-related social needs that
21 impact outcomes based on your background and
22 context that a medical group can't solve alone.

23 DR. SAFRAN: If I could add into that,
24 just three thoughts. So, one is, you know, I
25 really love Vivek's point about universal
26 foundation and the inclusion of the collection of

1 social drivers of health data.

2 And, one of the challenges that we
3 know that provider organizations face is, well,
4 how do I know to use those data to actually make
5 patient's care, and more importantly their health
6 outcomes, better?

7 And so, that really has to be part of
8 our purview, is to really help to identify how
9 you take those data and connect to the community-
10 based organizations, for example, that can help
11 address those health-related social needs.

12 And, I'll share with the team that
13 coordinated with us as speakers, the final report
14 recently released from NQF leadership consortium,
15 30-some odd organizations, including CMS and
16 others who participated in this very question of,
17 okay, we're all collecting SDOH data. Now, what
18 do we do with it?

19 And, so, I think it's a very helpful
20 and valuable report, probably important to the
21 question you're asking, Lauran.

22 The second point I wanted to make was,
23 I think we have to face the both/and. That we
24 want to have health equity indices to tell us at
25 sort of the organizational level how we're doing.

26 But, we know that when you bundle all

1 the different aspects of disparities across
2 different clinical areas into an index, you're
3 obscuring the information needed to drive
4 improvements.

5 So, we need the both/and of the index
6 but also the granular information, condition by
7 condition, population by population, around what
8 the results are, so we know how to improve.

9 And then, the final point I would make
10 is one I think I made two years ago when I met
11 with this group. But, it is still pertinent and
12 maybe even more so.

13 Which is, we have to confront this
14 issue of whether as we understand there are
15 differences in social risk in populations, do we
16 adjust the measure performance, or do we adjust
17 the financial payment?

18 When I was on MedPAC, a MedPAC
19 commissioner colleague and I wrote a paper in
20 Health Affairs about that we can have our cake
21 and eat it too, if we adjust the money side.
22 There's so much controversy around adjusting on
23 the performance measure side.

24 Those who say doing so obscures
25 disparities that need to be addressed, not
26 obscured. Those who say, if we don't adjust

1 that, you know, we're treating providers unfairly
2 who take care of higher social risks and risking
3 access.

4 We can have our cake and eat it too,
5 by adjusting on the financial side. Advantaging
6 those financially who take care of populations
7 that have higher risks, by giving them up-front
8 money that acknowledges it might take something
9 more different to care for this population.

10 And, by rewarding a given level of
11 performance more if you're taking care of a
12 population where that's viewed to be more
13 difficult.

14 So, I just wanted to make those
15 additional points in addition to the points that
16 Sai and Vivek shared. Thank you.

17 MR. RAMACHANDRA: Thank you all.
18 Great perspective. I guess how we look at it,
19 one, I think, as the payer, where can we align
20 incentives to sort of move the ethical
21 conversation forward as one.

22 Two is, you know, just coverage type
23 opportunities where we can just, you know, lean
24 in, and just cover for services.

25 Like, I think we did some work with
26 the doula coverage as a pilot, which is, we

1 actually worked with our employers to cover it
2 and to expand the pilot.

3 And now, the State of California has
4 made it a requirement in 2025, which is
5 fantastic. That's a way by which we can use sort
6 of the coverage lever as well.

7 The third, I'd say is just like, where
8 can we just add more providers? I mean,
9 California is a very diverse and big state with a
10 lot of variations.

11 And, how can we reflect our provider
12 network to reflect our membership, is another
13 angle that's taken. So, those are like, three
14 sort of levers we pulled at.

15 On the incentives piece, I think
16 Vivek, your comment, I mean, we are clearly still
17 collecting, there's holes in the data to even
18 start to stratify that Sai was talking about.

19 And so, where we can work with our
20 purchasers and, you know, obviously large players
21 like Cover California, or MediCal. One clearly
22 collects some of this data and some, you know,
23 don't.

24 And so, how can we create a consistent
25 way of collecting the data? And then, of course,
26 using ways to sort of stratify measures and even

1 understand the disparities to bridge.

2 I think it's a balance of, how do you
3 create -- so, a consistency in how we collect it.
4 But, then, flexibility in how you solve for it.
5 And, there's obviously regional variations,
6 provider variations.

7 So, we don't want to have a super
8 rigid approach as well, where Dana, your point on
9 we are, by doing so, having one score, we are
10 actually creating disparities or actually
11 sweeping over disparities in the process. And,
12 how do you not do that?

13 But, I think, Dana, you have to have
14 the consistencies. So, that's the balancing act
15 we're trying to do in using our, you know, value-
16 based care models to help us on that journey as
17 well.

18 CO-CHAIR HARDIN: It's a wonderful way
19 to take us to the next session. We want to thank
20 you each so much for taking the time to be part
21 of this session.

22 You've informed our perspectives.
23 And, will inform the report very deeply. And, we
24 really appreciate everything that you've shared
25 today.

26 Next, we're going to be heading into a

1 10-minute break. We want to invite you to stay
2 for the end of the session, where the Committee
3 will be coming together and discussing insights
4 from today in preparation for our all-day meeting
5 tomorrow.

6 So, until the end of the break, we'll
7 return at 4:20. Thank you so much.

8 (Whereupon, the above-entitled matter
9 went off the record at 4:08 p.m. and resumed at
10 4:21 p.m.)

11 * **Committee Discussion**

12 CO-CHAIR SINOPOLI: Welcome back. As
13 you know, PTAC will issue a report to the
14 Secretary of Health and Human Services that will
15 describe our key findings from this public
16 meeting.

17 We now have time for the Committee to
18 reflect on what we've learned and heard today
19 from our sessions. We'll hear from more experts
20 tomorrow.

21 I wanted to take some time this
22 afternoon to gather our thoughts before
23 adjourning for the day.

24 Committee members, please find the
25 potential topics for deliberation documents stuck
26 in the left pocket of your binder. To indicate

1 that you have a comment, please flip your name
2 tent up, or Josh that's here on Zoom, to raise
3 your hand.

4 I want to start out with a couple of
5 comments that I hope will do nothing but
6 stimulate the PTAC members to have some more
7 discussion.

8 So, I think it was a robust day today
9 with a lot of, a lot of great subject matter
10 experts. A lot of information ranging from very
11 high-level concepts down to very granular things
12 that we need to think about.

13 As I was listening, I started jotting
14 down just little notes of things that I heard.
15 Mostly at a very high level. These are, this is
16 an undeveloped list of topics that is clearly
17 incomplete.

18 And, each one of these needs to be
19 unpacked and developed. But, I thought these
20 were just things that I wanted to be able to get
21 on the table.

22 So, the first thing that I heard, is
23 that from a health care standpoint, that we have
24 had limited transition to becoming a high-
25 performing industry for multiple reasons.

26 And, I think that kind of sets the

1 stage for all the other discussions in terms of
2 how we perform and how we measure ourselves.

3 We heard about making sure we had a
4 clear purpose for measures. And, we heard John
5 Bulger talk about the various buckets that he
6 thought about when he thought about the purposes
7 for these measurements.

8 We heard discussions about developing
9 a portfolio of measurements. But, with an intent
10 to move towards outcomes with no fixed reason to
11 have measures in each bucket.

12 Using process measures only to test
13 theories about whether certain processes
14 contributed to outcomes. Or, if it had a clear
15 link to an outcome.

16 We talked a lot about person-centered
17 outcomes and patient-reported outcomes and a need
18 to develop those.

19 We talked about developing CMS level
20 measures that were -- that could cascade to an
21 entity level. And then, very specific measures
22 that could also then cascade to individual
23 physicians, depending on their particular
24 specialty.

25 We heard about the expense of all of
26 these measures, both in the development, the

1 implementation, the measurement, and how
2 expensive it was to do that.

3 But, at the same time, we heard
4 discussions about how many more measures we
5 needed, particularly in the specialist area.
6 And, so, that was a little bit of a contrarian
7 discussion there, the expense, but needing more
8 of them.

9 We heard the need of developing
10 measures for social determinants of health and
11 equity. And, that our equity was persistent
12 and/or worsening. And, that we needed to include
13 some form of risk stratification as we look at
14 those measures.

15 We also heard about defining what
16 populations we were talking about in total cost
17 of care. And, making sure we understood how we
18 were defining those populations.

19 We heard a need for being more
20 comfortable with thinking about these outcomes
21 from a long-term planning standpoint and not
22 short-term.

23 Moving away from claims, we heard some
24 discussion about shifting to big dot measures,
25 away from micro-measures. And, we continue to
26 hear their call for needing an all-payer model to

1 help with those efficiencies and alignments of
2 the dots.

3 So, I'm going to stop there and look
4 to my PTAC members for comments and discussion
5 further.

6 DR. KOSINSKI: I've had a big mouth
7 all day. I may as well keep going.

8 CO-CHAIR SINOPOLI: I love it.

9 DR. KOSINSKI: What did I hear? A lot
10 of what you said, Angelo. I think I heard we
11 need conversations and collaborations so we can
12 have harmonizations.

13 And, we can't keep, I mean, so many
14 people are working so hard in their little
15 spaces. And, we heard champions who work really,
16 really hard and spent years of their lives
17 working in this process.

18 But, they're working on this silo, and
19 the next one is working in that silo. And, we
20 need multi-payer solutions.

21 We need to harmonize all this work
22 some way. That was probably my biggest takeaway
23 today.

24 Secondly, we need investment. We need
25 investment. I think Dana had a great little slide
26 there where she had a square that said, the

1 incentives have to be more than what the doctor
2 is making from their fee-for-service.

3 So again, like we've heard so many
4 times, we need to make fee-for-service less
5 appealing. And, we need to invest into this.

6 And then, the other thing that I heard
7 over and over again today, was that it's the
8 entity that bears the risk. It's the entity that
9 creates the measures.

10 And, the providers are not at
11 financial risk. They are incentivized to meet
12 those measures.

13 So, those are my big three takeaways.

14 CO-CHAIR SINOPOLI: Great. Thank you
15 for those comments. Jen, you're always good at
16 summarizing things. I'm looking at you as you're
17 flipping through your notes.

18 So, I'll put you on the spot.

19 DR. WILER: I'm not quite to 10 yet. I
20 need to get better organized. A couple of the
21 same comments, not well organized. But, I
22 thought we had some fabulous speakers.

23 That it was interesting those, the
24 diversity of topics that we touched. And yet,
25 the same things that kept coming up, despite the
26 various focus that we asked our panels to

1 diversify on.

2 Which I think is reassuring that we
3 know the challenges. And yet, is disheartening
4 to hear that for over a decade we've been
5 focusing in this space and have made some
6 improvement, but not the improvements that we
7 want.

8 What I heard was that the downward
9 pressure of financial risk has actually eroded
10 outcomes and trust. And, exacerbated inequities.

11 And, I think we all know that to be
12 true. And, it's unfortunate. And, I can't think
13 of any other reason why we need to be more
14 focused in this space and have a louder cry for
15 change than that. Because that's the exact
16 unintended consequence of what we were trying to
17 alleviate.

18 And then, I heard a potential solution
19 that said, the total cost of care should be laser
20 focused on equity. And yet, we heard, you know,
21 challenges around methodology and how to do that.

22 But, I think it might even be valuable
23 for this group to think about future sessions
24 that are completely dedicated to getting in the
25 weeds around definitions of equity, methodology,
26 risk adjustment, what different subpopulations

1 look like.

2 And, we keep bumping up against this
3 challenge around who's responsible for care.
4 And, what systems can better coordinate?

5 Who again, are all focused on an
6 outcome of improving health. But, this
7 discrimination between health and health care, I
8 thought, was such an important one. Obvious, but
9 yet important in that space.

10 I also heard, and it's interesting,
11 because again, in my day job we talk a lot about
12 this around basements and balconies. So, the
13 basement being around safety, eliminating
14 avoidable harm.

15 And, the call out from our first panel
16 around focusing more on safety measures and what
17 are those increments of care that if not done we
18 know will result in harm.

19 And again, I'll give an example that
20 just recently came up, because as we were talking
21 about behavior health, again, in my day job, when
22 we talk to our psychiatrist and ask them, what do
23 you think you should be evaluated on?

24 They said, we order these medications
25 that we know patients are supposed to have annual
26 lab checks to make sure that there is not an

1 impact on liver function for instance. We try to
2 do it. We don't know if we're doing a good job.
3 We probably aren't doing a good job.

4 That's just one example of, again,
5 without getting into micro-details. But, there
6 are many examples of safety metrics. And, maybe
7 rather than just thinking about improvement work,
8 thinking about how safety folds in.

9 And that leads me then to, I thought
10 Dr. Bulger's comments were a good rubric for
11 thinking about what types of measures do we need?

12 What he threw out were measures for
13 improvement, measures for accountability, which
14 could include safety, and could include
15 appropriateness, and could include reporting, and
16 measures for payment.

17 And, that maybe they could all be
18 different and should be different. And, I
19 thought that was compelling.

20 And then last, you know, we gave our
21 panelists an opportunity to talk about what they
22 thought was necessary for success. I use the
23 word mandatory to prompt, you know, their
24 thoughts.

25 But, we keep hearing about multi-payer
26 alignment. We keep hearing about, I'll put it in

1 soft quotes, mandatory participation in programs
2 that has a deliberate glide path with an
3 appropriate timeline for engagement, evaluation
4 of current performance, and then, to do the work
5 of improving performance, which is a long time.

6 In addition to components that to do
7 that, care coordination is important. And again,
8 that focus on equity is important.

9 CO-CHAIR SINOPOLI: Great. Thank you.
10 I knew you'd do a good summary. Jim?

11 DR. WALTON: Yes, thanks. I was
12 reflecting, when Tom Sequist was talking, that I
13 knew him 20 years ago when I went up to Mass
14 General for one of my early fellowships in
15 equity.

16 And, I was telling Jen at the break
17 that when Baylor Healthcare System decided it was
18 going to consolidate with Scott and White, they
19 disbanded the equity department that I ran,
20 because after their -- after our second annual
21 disparity report.

22 Not because we resolved the
23 disparities, but because it was not -- there was
24 not a financial incentive.

25 And, it appears that we're still in
26 the same kind of circumstances today that there's

1 not actual maybe alignment with equity reimburse
2 -- reimbursement for improving health equity at a
3 level that would make a significant move of
4 integrated delivery networks move toward the
5 equity door to try to figure this out.

6 It is shocking, honestly, to listen to
7 Dr. Ma, is it Ma, Ma? Dr. Ma, speak about equity
8 research at the level she was speaking around.

9 And, she had advanced, they had
10 advanced the ball in 20 years a little. And,
11 that is how we think about health equity and
12 health disparities.

13 So, it's discouraging. You know, I
14 think channeling a little bit about Larry. And,
15 we see this in kind of 20-year blocks of time.

16 We now -- and I think one of the
17 comments that came up was that, now I forgot the
18 doctor's name, from the University of Chicago,
19 was powerful. To me it was, we need to redouble
20 our investment, because this is a long journey.
21 And, we are stewards, right?

22 We are just stewarding at this time.
23 And so, we should advocate for more funding for
24 research budgets, for the next generation of
25 clinical scholars, but also for the health equity
26 deep diving that's still yet to be done.

1 I thought -- I took away, something
2 that really kind of struck me was that multiple
3 speakers talked a little bit about gaming the
4 system.

5 I was a little bit struck by that.
6 Like that was resonating. Like people kept
7 hitting the bell. It was as if they were
8 listening to the other person and said hey, don't
9 forget that people are gaming the system.

10 So, I thought that was -- I thought
11 that was very interesting. I also thought that
12 the points around structure process to outcomes,
13 you know, was a fascinating reminder from Tom.

14 And so, in pulling it all together, I
15 thought that it was Dr. Schneider that really
16 kind of rang my bell around this notion of
17 providers and patients losing trust.

18 And so, I talked to him offline, and I
19 said, well, would you think there would be a
20 possibility that we should try to figure out how
21 to create a trustworthiness metric for systems
22 and providers rather than implying that there's
23 something wrong with the patient?

24 The patient's only responding to a
25 system that they perceive as either trustworthy
26 or not trustworthy. And, just as easily as they

1 could believe it's not trustworthy, they can just
2 as easily believe it was trustworthy, depending
3 on the features of that system.

4 And, I think there are lots of
5 systems, I think Tom is like illustrating that at
6 Mass General Brigham, they're trying to, they're
7 trying to accomplish that and trying to focus on
8 that.

9 So, I think that this notion of system
10 trustworthiness that protects the public and
11 advocates for both health equity at the
12 individual and at the community level, because I
13 do believe that there's an interplay.

14 And, I think that we might just have -
15 - we may not be able to influence the community
16 level metrics, but we can advocate for them.
17 Because that's our charge as providers, is to
18 stand in the gap for the community and represent
19 the community's health care needs.

20 So, excellent provider -- speakers.
21 And so, that's kind of what I wanted to
22 contribute today.

23 CO-CHAIR SINOPOLI: Great. Thank you.
24 Great comments. Lee?

25 DR. MILLS: I think I'm going to go
26 with what Jen said last, which for me is the

1 catch phrase of the day, is glide path.

2 And, we heard multiple people talk
3 about, there's just a prevailing confusion in
4 many areas around the complexity of the metrics,
5 around the trouble to implement.

6 And, the data problems around who the
7 providers of a given service, and metrics that
8 are defined for a given provider but yet in a
9 different community, there are different
10 providers then who the measure is designed for
11 around the purpose of the data.

12 And, we are drowning in data that
13 doesn't have much insight or wisdom to be able to
14 bring to it.

15 And, I heard multiple speakers in
16 multiple types of discussions plead essentially
17 for a clear, multi-year roadmap, because it's a
18 journey.

19 So many incentive programs are built,
20 you know, it's a one-year metric. You can't even
21 get a dashboard built in a big system in a year.

22 You just have to know where you're
23 going to be able to even, even if it's not
24 external investments, it's internal investment
25 and time and expertise and leadership focus and
26 attention.

1 So, if there's a role that, you know,
2 CMMI or CMS can bring to this, I think we heard a
3 pretty clear call, a clarion call for a clear
4 roadmap pointing at least directionality, if not
5 exact stations in the train journey.

6 I appreciated that multiple speakers
7 brought up just managing, managing the work life
8 and always keeping that focus of the quality and
9 the aspect of the caregivers. Which in many
10 specialties has gotten pretty poor.

11 And, I appreciated Dr. Gaylis'
12 specific example about, you know, sending \$220
13 thousand building a single analytics package and
14 dashboard for a single measure for a small single
15 specialty group.

16 And so, there is very significant
17 investment in getting this stuff done. And, that
18 certainly resonates with my professional
19 experience as well.

20 I heard multiple people speak about
21 equity. And, that certainly was a theme that
22 carried through most of the conversations.

23 But, I was struck that we
24 simultaneously need essentially equity at a large
25 scale. We need even standardized measures like
26 the ADI to apply to populations.

1 Yet, if that's all you have, you still
2 need to do the nitty gritty work, patient by
3 patient by patient to know what you can impact in
4 your community. And that was pretty evocative.

5 And lastly, I really appreciated
6 responses to this question about what's the --
7 the question was, what's the mixture of quality
8 outcome, patient experience, process, utilization
9 measures for measuring system transformation?

10 And, I heard the structure of the
11 answers were slightly different. And, I thought
12 it was interesting.

13 And, the categories that people spoke
14 to was a portfolio of measures, first of all,
15 will not be the same. Because every locality
16 has, you know, different culture, different
17 streaks, different weaknesses, different blend of
18 specialties, et cetera.

19 But, a portfolio would include, above
20 all, outcome measures. And next, decreasing
21 influence of process measures, this idea that
22 they seemed to reduce and lock in inefficient
23 practice. Right?

24 That's -- unless they're tied closely
25 to outcomes. And then, emphasizing equity. Then
26 emphasizing appropriateness of care. Both

1 reducing low-value care and increasing high-value
2 care.

3 And then ideas of access to care. A
4 great evocative example of, you know, getting a
5 specialty referral and all the specialists in
6 your community are scheduling out one year. I
7 think that happens all over the country all the
8 time. I know it's true in my community.

9 And then, some measures of churn.
10 And, that was interesting to me that spoke to
11 that, that idea of percent of a provider or a
12 specialty, or group's population that churns gets
13 at cherry picking, gets at satisfaction, and gets
14 at culture of trust between patient and clinician
15 all kind of simultaneously in one measure.

16 And, that was sort of interesting to
17 me.

18 CO-CHAIR SINOPOLI: Great. Thank you
19 for all that, Lee. Walter?

20 DR. LIN: Yeah. This is a great day.
21 A very informative and rich discussion. I'm just
22 going to pick up on some of the themes that Lee
23 and Jen just mentioned.

24 This whole idea of having a balanced
25 portfolio of measures, I think, was thought-
26 provoking. You know, I think all of our experts

1 agreed that kind of, kind of measures total cost
2 of care in a total cost of care system, which
3 makes sense.

4 But, kind of the kind of balancing
5 measures of what was mentioned, when asked that
6 question, were very intuitive answers, like
7 access, care integration, like how do you measure
8 integrated care?

9 Which I thought was quite relevant,
10 especially given our total cost of care meeting
11 last year.

12 Safety, and then this whole idea of
13 churn, which I thought was really an insightful
14 concept to me that I hadn't thought much about
15 before.

16 But, you know, I was trying to fit
17 what the first session panelist mentioned on the
18 balance full of measures. And, the HCPLAN's
19 framework of measures, the big dot framework that
20 Dana mentioned.

21 You know, I think, you know, that the
22 big dots were like lower cost, better care, and
23 better health. Right?

24 And, you know, one of the clear themes
25 that ran through pretty much every conversation
26 we had this morning was, how overwhelming the

1 amount of measures out there are. The measure of
2 cacophony and just the extreme burden.

3 And, I think there is a lot of wisdom
4 to -- at least at this level, the CMS level,
5 focus on the big dots. Right?

6 And, I think the big dots were some of
7 the balance portfolio measures that I just
8 mentioned.

9 The other thing that I kind of took
10 away was applying the big dots to different
11 populations might actually result in very
12 different measures. For instance, even in the
13 same program, let's just take the MSSP⁴⁸ ACO for
14 example.

15 If the population of patients in MSSP
16 ACO is kind of community-dwelling, relatively
17 young, relatively healthy, that -- the big dots
18 might remain the same.

19 But, the level two and level three
20 quality measures might look very different in
21 another MSSP ACO that focused just on nursing
22 home patients like the one I belong to.

23 And so, kind of thinking through, at
24 what level do we recommend, make recommendations
25 about the measures?

48 Medicare Shared Savings Program

1 I think it's probably at the big dot
2 level. But, when applied to different
3 populations, the level two and level three sub-
4 dots might look very different even in the same
5 risk-bearing value-based program.

6 CO-CHAIR SINOPOLI: Perfect. Thank
7 you. Um-hum?

8 DR. KOSINSKI: PROMs, we need to
9 include PROMs in whatever report we're generating
10 out of this. Because we heard that over and over
11 and over again.

12 The complexity in capturing them.
13 They're important. How they're related to
14 equity. I think PROMs have to be part of our
15 focus as well.

16 CO-CHAIR SINOPOLI: And, noted their
17 expense, yes.

18 CO-CHAIR HARDIN: I'll just add one
19 other layer. So, the digital component, I was
20 really struck by Eric's comment that 20 years
21 ago, he was working on the digital future
22 measurement.

23 But, he called out some really
24 interesting trends that are, things that have
25 moved that are available now that are important

1 to consider, like AI and NOP⁴⁹ and FHIR. And,
2 really getting an electronic health record.

3 CO-CHAIR SINOPOLI: Yes.

4 CO-CHAIR HARDIN: -- Vendors to
5 collaborate and come together. And, to really
6 think about the other theme that came forward is
7 dashboards, visualizations, things that really
8 help providers and systems to understand the data
9 that we do have and be motivated by it rather
10 than punished.

11 And then also, the opportunity to
12 capture data from publicly available sources to
13 help drive change, like Google. I thought that
14 was really interesting to integrate with.
15 Accessible and easy data.

16 So, that's the only other comment I
17 would make.

18 CO-CHAIR SINOPOLI: Lindsay?

19 DR. BOTSFORD: Yeah. I'll build, I
20 think a lot of -- a lot of good things have been
21 shared already.

22 I think building on what Lauran
23 called out and picking up a little bit on what
24 Vivek shared. It sounds like the current claims-
25 based measures won't suffice. We need more.

49 Notification Oriented Paradigm

1 We need to decrease the cost of
2 reporting, decrease the cost of development.
3 And, rely on digital quality measures or the like
4 to help with some of it.

5 It's not necessarily going to just
6 come automatically that clinicians know how to
7 succeed in these measures. And, there's some
8 degree of education that would need to be
9 provided as we think about going down this path.

10 So, I think I would just build that as
11 we need to, as we think about the, all the new
12 measures that would be needed here, there's going
13 to be a whole layering of education needed for
14 clinicians to understand these measures as well.

15 The last point I wrote down that
16 hadn't been said yet already, is just, I think,
17 this surface in our special, specialty
18 integration conversation is how many gaps there
19 are in measures still in the specialty care
20 space. And specifically in measures that could
21 link specialties back to primary care as opposed
22 to being stand-alone measures.

23 So, it seems that that remains an
24 opportunity as we think about total cost of care
25 measures.

26 CO-CHAIR SINOPOLI: Great comments.

1 So, Audrey, anything else that we need to cover?
2 Anything you want to comment?

3 MS. McDOWELL: I'm not sure if Josh is
4 online? He's not. Okay.

5 * **Closing Remarks**

6 CO-CHAIR SINOPOLI: Okay. Good. All
7 right. Well good. I want to thank everybody for
8 participating today, our expert presenters, the
9 panelists, my PTAC colleagues and those listening
10 in.

11 We'll be back tomorrow morning at 9:00
12 a.m. Eastern time. Our two-day agenda will
13 feature, our day two agenda will feature a
14 roundtable panel discussion with experts on
15 stakeholders' perspectives on best practices for
16 measuring spending and quality outcomes and total
17 cost of care models.

18 A special panel discussion with CMS
19 staff tomorrow. A listening session on linking
20 performance measures with payment and financial
21 incentives, as well as time for public comments,
22 in person or via telephone.

23 * **Adjourn**

24 We hope that everybody will be able to
25 join us then. Thank you. And, for this day, the
26 meeting is adjourned.

1 (Whereupon, the above-entitled matter
2 went off the record at 4:49 p.m.)

C E R T I F I C A T E

This is to certify that the foregoing transcript

In the matter of: Public Meeting

Before: PTAC

Date: 03-25-24

Place: Washington, DC

was duly recorded and accurately transcribed under my direction; further, that said transcript is a true and accurate complete record of the proceedings.

Neal R Gross

Court Reporter

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1716 14TH ST., N.W., STE. 200

WASHINGTON, D.C. 2009-7831