

PHYSICIAN-FOCUSED PAYMENT MODEL TECHNICAL  
ADVISORY COMMITTEE (PTAC)

+ + + + +

PUBLIC MEETING

+ + + + +

The Great Hall  
The Hubert H. Humphrey Building  
200 Independence Avenue, S.W.  
Washington, D.C. 20201

+ + + + +

Tuesday, June 11, 2024

PTAC MEMBERS PRESENT

LAURAN HARDIN, MSN, FAAN, Co-Chair\*  
ANGELO SINOPOLI, MD, Co-Chair  
LINDSAY K. BOTSFORD, MD, MBA  
JAY S. FELDSTEIN, DO\*  
LAWRENCE R. KOSINSKI, MD, MBA  
WALTER LIN, MD, MBA  
TERRY L. MILLS, JR., MD, MMM  
SOJANYA R. PULLURU, MD  
JENNIFER L. WILER, MD, MBA

PTAC MEMBERS NOT PRESENT

JOSHUA M. LIAO, MD, MSc  
JAMES WALTON, DO, MBA

STAFF PRESENT

LISA SHATS, Designated Federal Officer (DFO),  
Office of the Assistant Secretary for  
Planning and Evaluation (ASPE)  
STEVEN SHEINGOLD, PhD, ASPE

\*Present via Zoom

## A-G-E-N-D-A

Opening Remarks.....	3
Welcome and Co-Chair Overview - Addressing the Needs of Patients with Complex Chronic Conditions or Serious Illnesses in Population-Based Total Cost of Care (PB-TCOC) Models Day 2.....	3
PTAC Member Introductions.....	4
<b>Listening Session 2: Optimizing the Mix of Palliative Care and End-of-Life Care in PB-TCOC Models.....</b>	<b>9</b>
- Kurt Merkelz, MD, FAAHPM; Natalie C. Ernecoff, PhD, MPH; Ira Byock, MD, FAAHPM; and Betty Ferrell, RN, PhD	
<b>CMS Panel Discussion.....</b>	<b>84</b>
<b>Listening Session 3: Best Practices for Incentivizing Improved Outcomes for Patients with Complex Chronic Conditions or Serious Illnesses in PB-TCOC Models.....</b>	<b>132</b>
- Marie P. Bresnahan, MPH; Bruce R. Schackman, PhD; Jason H. Feuerman; Bruce Leff, MD; and Diane E. Meier, MD, FACP	
Public Comment Period.....	202
Committee Discussion.....	202
Closing Remarks.....	216
Adjourn.....	217

P-R-O-C-E-E-D-I-N-G-S

9:02 a.m.

\* CO-CHAIR SINOPOLI: Good morning.  
We'll get started again this morning.

\* **Welcome and Co-Chair Overview -  
Addressing the Needs of Patients with  
Complex Chronic Conditions or Serious  
Illnesses in Population-Based Total  
Cost of Care (PB-TCOC) Models Day 2**

Welcome to day two of this public  
meeting of the Physician-Focused Payment Model  
Technical Advisory Committee, known as PTAC.

My name is Angelo Sinopoli. I'm one  
of the Co-Chairs of PTAC, along with Lauran  
Hardin.

Yesterday, we began our day with  
opening remarks from CMS<sup>1</sup> Deputy Administrator  
and CMMI<sup>2</sup> Director, Dr. Liz Fowler, and she  
provided some insight into the Innovation  
Center's models targeting the seriously ill  
population.

We also had several expert panelists  
and presenters who shared their perspectives on  
how to provide patient-centered care, improved  
outcomes, and measure quality for patients with

---

1 Centers for Medicare & Medicaid Services

2 Center for Medicare and Medicaid Innovation

1 complex chronic diseases and serious illness in  
2 population-based total cost of care.

3 Today, we have a great lineup of  
4 experts for two listening sessions and a special  
5 session with CMS leadership. We've worked hard  
6 to include a variety of perspectives throughout  
7 this two-day public meeting, including the  
8 viewpoints of previous PTAC proposal submitters  
9 to address relevant issues in their proposed  
10 models.

11 Later this afternoon, we will have a  
12 public comment period and welcome participants  
13 either in person or via telephone to share. As  
14 a reminder, public comments will be limited to  
15 three minutes. If you have not registered to  
16 give an oral public comment, but would like to do  
17 so, please email prior to the 2:30 p.m. session  
18 today. Again, that's ptacregistration@norc.org.

19 Then the Committee will discuss our  
20 comments for the report to the Secretary of  
21 Health and Human Services that we will issue on  
22 addressing the needs of patients with complex  
23 chronic conditions or serious illness in  
24 population-based total cost of care models.

25 \* **PTAC Member Introductions**

26 Because we might have some new folks

1 online who weren't able to join yesterday, I  
2 would like the Committee members to please  
3 introduce themselves today. Share your name and  
4 your organization. If you would like, you can  
5 tell us about the experience you may have had  
6 with this topic. I'll cue each of you, as we go  
7 through the introductions.

8 I'll start. I'm Angelo Sinopoli.  
9 I'm a pulmonary critical care physician,  
10 presently the Executive Vice President for Value-  
11 Based Care at Cone Health. I've spent most of my  
12 career in large integrated health systems as the  
13 chief clinical officer, and have built  
14 clinically-integrated networks and enablement  
15 companies to help support their performance.

16 We will start out by introducing the  
17 people who are on Zoom. If we can start with  
18 Lauran?

19 CO-CHAIR HARDIN: Good morning. I'm  
20 Lauran Hardin. I'm a nurse and Chief Integration  
21 Officer for HC2 Strategies. I've spent the  
22 better part of the last 20 years directly serving  
23 clients with serious and complex illness,  
24 starting one of the earliest play-and-care  
25 programs and children's hospice programs, and  
26 then, leading a complex care model that scaled to

1 multiple states and was recognized by the  
2 National Academy of Medicine.

3 I was part of the team that started  
4 the National Center for Complex Health and Social  
5 Needs, and I've spent the last 10 years  
6 partnering with communities, large health  
7 systems, states, community-based organizations,  
8 and payers, designing models for complex and  
9 underserved populations.

10 And now, let's go to Jay.

11 DR. FELDSTEIN: Hi. I'm Jay  
12 Feldstein. I'm trained in emergency medicine. I  
13 practiced emergency medicine for 10 years, and  
14 then, spent 15 years in the health insurance  
15 world in commercial and government programs, and  
16 the last 10 years, have been the President and  
17 CEO of Philadelphia College of Osteopathic  
18 Medicine.

19 CO-CHAIR SINOPOLI: And is Josh on at  
20 this point? No? Okay.

21 So next, we'll go around the table,  
22 starting to my left with Jen.

23 DR. WILER: Hi. Good morning. My  
24 name is Jennifer Wiler. I'm an emergency  
25 physician by training. I'm the Chief Quality  
26 Officer at UHealth's Metro Region and Co-Founder

1 of UCHHealth's Care Innovation Center, where we  
2 partner with digital health companies to grow and  
3 scale their solutions at the point of care. I'm  
4 also a tenured professor at the University of  
5 Colorado School of Medicine and a former co-  
6 developer of an Alternative Payment Model  
7 considered by this Committee.

8 DR. LIN: Good morning. My name is  
9 Walter Lin. I am the founder and CEO of  
10 Generation Clinical Partners. We are a group of  
11 medical providers in the greater St. Louis area  
12 specializing in the care of the seriously ill and  
13 those with complex chronic conditions living in  
14 assisted living facilities and nursing homes.

15 DR. PULLURU: Good morning. Chinni  
16 Pulluru. I'm a family physician by trade,  
17 previously at Walmart, Chief Clinical Executive.  
18 Currently, I'm a founder, founded a genetics  
19 company, as well as serve to advise in value-  
20 based care transformation. Prior to that, I  
21 spent 15 years leading the end-to-end value-based  
22 care delivery of DuPage, now Duly Health and  
23 Care, which is a large multi-specialty group, one  
24 of the largest in the country.

25 DR. BOTSFORD: Good morning. I'm  
26 Lindsay Botsford. I'm a practicing family

1 physician in Houston, Texas. I also serve as a  
2 Regional Medical Director with Amazon One  
3 Medical, supporting our practices across the  
4 Midwest and Texas. Prior to that, I worked in  
5 large health systems in residency education. I  
6 also serve as the President-elect of the Texas  
7 Academy of Family Physicians.

8 DR. MILLS: Good morning. I'm Lee  
9 Mills. I'm a family physician. I am a principal  
10 at Strategic Health Consulting and have spent  
11 most of my career in leading and operating value-  
12 based care transformation activities, including  
13 multiple CMMI models over the last 20 years. I  
14 spent the last four years as Chief Medical  
15 Officer at a commercial and government program  
16 health plan leading those value-based benefit  
17 design and care transformation efforts.

18 Thank you.

19 DR. KOSINSKI: Good morning. I'm  
20 Larry Kosinski. I am a gastroenterologist by  
21 training. I practiced for 35 years in the  
22 Chicagoland Metropolitan Area, but I am now  
23 retired from that.

24 I've spent the last 10 years of my  
25 life in value-based care, trying to build value-  
26 based solutions for specialists, specifically,



1 gastroenterologists. I founded a company named  
2 SonarMD, which arose out of a PTAC proposal. We  
3 were one of the first PTAC proposals after PTAC  
4 was formed back in 2017, and we now have this  
5 deployed in the commercial space.

6 I also am a Board member of the  
7 American Gastroenterological Association,  
8 consult for a number of companies, and am the  
9 Chief Medical Officer of Jona Health, an AI-  
10 driven microbiome start-up.

11 CO-CHAIR SINOPOLI: Thank you all.

12 One of our members, Dr. Jim Walton, is  
13 unable to join us for this meeting, but we  
14 certainly want to thank him for all of his  
15 contributions to the meeting preparations.

16 At this point, I'm going to turn  
17 things over to Luran to lead the next listening  
18 session.

19 Luran?

20 \* **Listening Session 2: Optimizing the**  
21 **Mix of Palliative Care and End-of-Life**  
22 **Care in PB-TCOC Models**

23 CO-CHAIR HARDIN: Thank you so much,  
24 Angelo.

25 Good morning.

26 At this time, I'm excited to welcome

1 four guest experts for our listening session who  
2 will present on Optimizing the Mix of Palliative  
3 Care and End-of-Life Care in Population-Based  
4 Total Cost of Care Models.

5 At this time, I ask our presenters to  
6 go ahead and turn on video, if you haven't  
7 already.

8 After all four experts have presented,  
9 our Committee members will have plenty of time to  
10 ask questions.

11 The full biographies of our presenters  
12 can be found on the ASPE PTAC website, along with  
13 other materials for today's meeting.

14 So, I'll briefly introduce our guests.

15 Presenting first, we have Dr. Kurt  
16 Merkelz, who is the Senior Vice President and  
17 Chief Medical Officer of Compassus.

18 Kurt, please go ahead.

19 DR. MERKELZ: Thank you. Thank you  
20 for the introduction, and it's an honor to  
21 address this esteemed Committee and panel.

22 I wish to quickly just make a comment  
23 regarding attribution. My focus is on the  
24 highest-cost, highest-needs users of health care,  
25 those individuals with polychronic disease states  
26 that significantly impact function and account

1 for recurrent hospitalizations.

2 Next slide.

3 This is the opportunity. There is  
4 currently a lack of any defined methodology to  
5 meet the outcomes most important for this  
6 population's success. And in turn, it accounts  
7 for much of the high cost in rehospitalizations  
8 that occur.

9 I have only a very short time. So, I  
10 want to lead with a definition of my thesis for  
11 today's presentation. A model of care or  
12 methodology is the mechanism for linking theory  
13 to practice. It may be the most practical and  
14 important tool for a professional.

15 The current model being used to treat  
16 the serious illness population is fundamentally  
17 flawed when applied to the elderly population  
18 with chronic illness -- not only for achieving  
19 intended outcomes. In many instances and cases,  
20 it exacerbates the very challenges faced by these  
21 patients.

22 Health care providers, including  
23 nurses, therapists, physicians, are constrained  
24 by current practice. Though well-intentioned,  
25 care plans are mostly rendered ineffective  
26 because they do not align with the realities of

1 aging and chronic illness management.

2 The persistence of a lack of  
3 standardized best practice is a disservice to  
4 actually both patients and health care  
5 professionals.

6 Next slide.

7 My work over the past decade has been  
8 to help identify and improve systems of care  
9 across a continuum of integrated services,  
10 including palliative care, hospice, home health,  
11 and infusion services.

12 I have been engaged specifically in  
13 improving and standardizing care delivery to fill  
14 the gap that currently exists in the post-acute  
15 care setting, which is an overemphasis on acute  
16 medicine coupled with biomechanical  
17 rehabilitation. This rehabilitation model was  
18 really born out of young war veterans coming back  
19 from injuries from which they needed to recover  
20 and when physical recovery was mostly possible  
21 and necessary.

22 This mindset operates under an  
23 assumption that restoring physical function or  
24 health is consistently possible and desirable.  
25 However, for the aging population, particularly  
26 those with chronic illnesses, this goal is often

1 unattainable, unsustainable, and unless complete  
2 achievement is obtained, it actually leaves them  
3 at significant risk.

4 Next slide.

5 My work has been influenced greatly by  
6 the RIGHTCARE Foundation. The RIGHTCARE Research  
7 Initiatives are deeply rooted in the needs,  
8 issues, and concerns of specific patient  
9 populations and community-based organizations  
10 that serve them. Collaboration with communities  
11 and patient partners ensures that the research is  
12 not only relevant, but also responsive to the  
13 challenges faced by our communities and patients.

14 At the heart of my own care delivery  
15 model and the RIGHTCARE model are substantive  
16 outcomes, - outcomes- that matter to payer  
17 source, providers, and patients - that- can be  
18 realized, and in reality must be achieved. Each  
19 outcome is strategically aligned with specific  
20 actions that are necessary to bring about the  
21 desired change to key factors that determine  
22 success or failure for each individual patient.

23 Next slide.

24 Currently, palliative care is known to  
25 improve the negative aspects to quality of life,  
26 mostly by addressing symptoms and stress of

1 serious illness. Additionally, there are known  
2 positive outcomes from advanced care planning,  
3 timely transition to hospice, and some impact in  
4 rehospitalization. However, palliative care as  
5 the driver of outcome-focused care, supporting  
6 individuals in receiving the necessary and needed  
7 care, has the greatest opportunity for impact.

8 Next slide.

9 To illustrate the gaps, consider this  
10 recent case of an average serious illness  
11 patient. This patient presents with multiple  
12 chronic conditions and limited function. The  
13 care plan devised for such a patient under the  
14 existing care structure focuses primarily on  
15 physical rehabilitation, such as performance and  
16 components, like strength, range of motion,  
17 endurance, balance, restoring self-reliance. And  
18 nurses are often performing all the required  
19 tasks for medical condition management.

20 This patient was found by me at home  
21 with no medications; no knowledge of what her  
22 medications were; no system to follow the  
23 regimen; innumerable safety risks, with an  
24 inability to safely have her needs met.

25 This is not a unique or one-off. This  
26 is found in almost every home of every patient I

1 evaluate.

2 Next slide.

3 Care for the seriously ill patient  
4 needs to focus on care that supports substantive  
5 outcomes, not independent goal attainment.  
6 Family members/caregivers must be partners in  
7 care provision with a focus on supporting the  
8 patient in having their needs met, not self-  
9 reliance.

10 Palliative care, by using specific  
11 care methodologies, focusing on substantive  
12 outcomes and validation of training and  
13 compliance, like medication reconciliation,  
14 medication adherence systems, medication  
15 compliance, caregiver assessments and training,  
16 and risk mitigation, offers a real solution. A  
17 proactive methodology addresses the real needs of  
18 patients.

19 Next slide.

20 Many seriously ill patients have been  
21 hospitalized precisely because of their inability  
22 to manage the very same self-reliance activities  
23 we drive after discharge. This analysis from  
24 23,000 treatment plans underscores the need for  
25 a shift in how we approach the care of this  
26 population.

1           As shown, the care plans for patients  
2 admitted to rehab hospitals, skilled nursing  
3 facilities, LTACHs<sup>3</sup>, and home health, most often  
4 focus on physical performance and self-reliance  
5 activities. These care plans across the board  
6 overlook the core needs of these patients,  
7 leading to high numbers of safety risks and poor  
8 medication adherence.

9           Little to no attention is paid to  
10 burden of care and quality of life. The actual  
11 number of safety risks present often increases  
12 for individual patients with serious illness due  
13 to an over-focus on self-reliance, pushing  
14 patients to achieve mobility or self-medication  
15 provision beyond what they safely should be doing  
16 on their own, to meet often misaligned goals.

17           Next slide, please.

18           Palliative care using an outcome-  
19 focused methodology can raise the bar of high-  
20 value care for individuals with serious illness.  
21 This approach identifies the essential outcomes  
22 that must be achieved and maintained to ensure a  
23 patient's success and reduce health care  
24 expenditure. It provides a comprehensive  
25 framework, including treatment plans and metrics,

---

3 Long-term acute care hospitals



1 and can empower a wide range of stakeholders.

2 Most seriously ill patients are not  
3 yet hospice-appropriate. So, what is needed is  
4 to de-emphasize medications and symptom  
5 management and align on substantive outcomes that  
6 address the needs of seriously ill patients.

7 Successful care often involves family  
8 support with proactive management, which our  
9 system can often fail to provide adequately. We  
10 need to ensure compliance, monitor for changes  
11 early, and mitigate risk to keep patients stable.  
12 What is lacking, again, is a specific methodology  
13 or accounting that can be measured and validated  
14 that ensures best practices are employed by every  
15 clinician at every encounter.

16 Next slide.

17 Palliative care using outcomes-  
18 focused methodology represents the pinnacle of  
19 high-value care for individuals with serious  
20 illness. A well-defined care model provides the  
21 comprehensive framework, including the training,  
22 treatment planning, tools, and the metrics to  
23 validate goal attainment. A framework of  
24 resources such as this empowers and equips a wide  
25 range of stakeholders. By realigning the quality  
26 equation, this method can dramatically lower the

1 total cost of care, enhancing both patient  
2 outcomes and the efficiency of care delivery.

3 Next slide.

4 Specific substantive care delivery  
5 models can be developed, are developed, and can  
6 be implemented with significant success.  
7 Standardized care practices in an individualized  
8 way have supported significant improvements in  
9 many of the various regulatory quality reporting  
10 programs currently in use. And there is still so  
11 much more for us to learn and optimize in this  
12 area.

13 Next slide. And actually, if we can  
14 go to the next slide?

15 Compassus, using the care delivery  
16 model, achieved CHAP<sup>4</sup> certification as an age-  
17 friendly provider in some of their home health  
18 agencies. This opportunity extends beyond post-  
19 acute care services and directly into our  
20 communities.

21 First responders, ERs<sup>5</sup>, community  
22 providers, all joining forces around agreed-upon  
23 outcomes, can make the entire community a risk-  
24 reduction program and is actually currently being  
25 realized. RIGHTCARE has made significant impact

---

4 Community Health Accreditation Partner

5 Emergency rooms

1 in a three-year pilot with Scottsdale Fire  
2 Department, which is now live.

3 Using substantive outcomes training,  
4 Senior Solutions recently achieved CHAP  
5 certification as an age-friendly provider for  
6 private duty care, validating the commitment to  
7 high-quality care.

8 The Life Profile Assessment and Care  
9 Planning derived from RIGHTCARE research sets  
10 Senior Helpers apart in their ability to execute  
11 on keeping seniors out of the hospital by  
12 supporting clients by effectively, again,  
13 managing their medical conditions, eliminating  
14 safety risks, improving quality of life, and  
15 supporting family caregivers. This initiative  
16 not only elevates their service quality, but also  
17 positions Senior Helpers as a leader in the  
18 rapidly evolving field of age-friendly care.

19 Next slide.

20 We need to stop rearranging the chairs  
21 in providing the same focus of care and hoping  
22 for a different outcome. Palliative care guiding  
23 substantive outcome methodologies can transform  
24 care for the seriously ill. By implementing,  
25 supporting, and measuring these outcomes, we can  
26 achieve better health, reduce costs, and enhance

1 patient and provider satisfaction.

2 I thank you so much for your time this  
3 morning.

4 CO-CHAIR HARDIN: Thank you so much,  
5 Kurt. That was very interesting.

6 We're holding questions from the  
7 Committee until after all the presentations are  
8 done, but I'm sure there will be lots of  
9 additional questions.

10 Next, we're excited to have Dr.  
11 Natalie Ernecoff, who is a Full Policy Researcher  
12 at RAND.

13 Welcome, Natalie. Please go ahead.

14 DR. ERNECOFF: Thank you so much for  
15 having me. I'm excited to be here today.

16 You can go to the next slide.

17 I am a health services researcher. I  
18 do work in innovative systems, interventions for  
19 people living with serious illness, particular in  
20 the hospice space.

21 And today, I will be talking about  
22 concurrent care in a hospice context  
23 specifically. I'll start out with some  
24 background, and like Kurt, I like some historical  
25 context. So, we'll start there, and then, move  
26 into some best practices and models of concurrent

1 care; how those models impact outcomes; and what  
2 are some of the challenges with care coordination  
3 and care delivery in this space.

4 Next slide, please.

5 So, the Medicare hospice benefit was  
6 signed into policy in 1982. At the time, the  
7 policy included a requirement of a six-month  
8 prognosis; invoked relatively inexpensive  
9 medications for management of pain and symptoms,  
10 primarily in cancer. And the model was developed  
11 in cancer care. It also was a home-based model.  
12 Unlike other hospice models internationally, the  
13 home-based care model allowed the policy to  
14 remain cost-neutral.

15 One important feature of the Medicare  
16 hospice benefit is that it does not cover  
17 disease-directed therapies that are related to  
18 the primary hospice diagnosis. For example, if  
19 your primary hospice diagnosis is cancer, the  
20 Medicare hospice benefit does not cover  
21 chemotherapy or radiation, including if that's  
22 for palliative intent. And that's what we'll  
23 talk about today.

24 It's important to note that the policy  
25 at the time was intended to be inclusive, to meet  
26 patient needs. Most people who are receiving

1 hospice had cancer, and from the time they  
2 exhausted their disease-directed curative  
3 treatment options, very few people were living to  
4 that six-month prognostic time horizon.

5 Next slide, please.

6 So, 40 years later, the policy remains  
7 relatively unchanged. In today's climate, we see  
8 that patients are forced to make, as Casarett and  
9 colleagues called it, "the terrible choice."  
10 Hospices are unable to afford the coverage of  
11 many disease-directed therapies. So, they often  
12 require discontinuation before enrolling, even if  
13 those disease-directed therapies can provide  
14 comfort-oriented palliative intent.

15 Some examples include palliative  
16 dialysis; blood transfusions for people with  
17 blood cancers, which can improve dramatic  
18 fatigue; and palliative radiation to reduce tumor  
19 size marginally, if there's a tumor pressing on  
20 a nerve causing pain, for example.

21 Patients who could benefit from those  
22 disease-directed therapies are often forced to  
23 choose between that therapy that can, indeed,  
24 help make them more comfortable in many cases and  
25 the improved quality of life and additional  
26 support, including psychological support, social

1 support, spiritual care that comes with hospice.

2 But we do see that few patients faced  
3 with this choice elect the hospice benefit, and  
4 those who do elect the Medicare hospice benefit  
5 in this scenario often access the services very  
6 near the end of life with less opportunity to see  
7 their full benefit.

8 Next slide, please.

9 So, what is concurrent care?  
10 Concurrent care in this context is continuation  
11 of disease-directed therapies with palliative  
12 intent upon enrollment in hospice in an effort to  
13 support patient goals, as they approach the end  
14 of life. It's defined by these three guiding  
15 principles where the treatment is motivated by  
16 symptom management rather than curative intent.  
17 So, treatment plans can change.

18 The potential benefits and burdens of  
19 the treatment must be balanced with those of care  
20 and quality of life. And to that end, treatments  
21 must be reviewed regularly and treatment care  
22 plans modified because the clinical setting and  
23 patient physiology is changing pretty quickly and  
24 dramatically during this time. So, as soon as a  
25 treatment seizes to provide palliative intent,  
26 it's often discontinued before the very end of

1 life.

2 Next slide, please.

3 So, where have we seen concurrent care  
4 already? Pediatrics in the United States is one  
5 example. So, for context, the Affordable Care  
6 Act prompted Medicaid policy change in 2010 to  
7 allow concurrent care for kids. There is a lot  
8 of state-level variation in how this is  
9 implemented, but, in general, evaluations of  
10 pediatric concurrent care have demonstrated  
11 increased hospice length of stay and fewer live  
12 discharges from hospice, allowing patients to  
13 continue to receive the benefits of hospice while  
14 getting some palliative benefit from their  
15 disease-directed therapy.

16 Next slide, please.

17 Another example is from the VA<sup>6</sup>  
18 setting. VA administrators found in the early  
19 2000s that hospice enrollment was low. The VA,  
20 of course, is not subject to Medicare rules,  
21 including the Medicare hospice benefit. And so,  
22 they decided to test models of concurrent care,  
23 starting in cancer.

24 These early evaluations in concurrent  
25 care in cancer found that chemotherapy was,

---

6 Veterans Administration



1 indeed, used after hospice enrollment. It was  
2 discontinued before the very end of life,  
3 consistent with a comfort-based care plan. And  
4 they found fewer ICU admissions, corresponding  
5 cost savings there, but no change in survival  
6 between people who received concurrent care in  
7 hospice and people who received typical hospice  
8 services.

9 Next slide, please.

10 The VA also tested this in end-stage  
11 kidney disease. And these studies found that  
12 median hospice length of stay was, indeed, longer  
13 for people who received concurrent care. You can  
14 see here four days among hospice enrollees who  
15 did not receive concurrent care and 43 days among  
16 those who did. Dialysis is an interesting  
17 example because discontinuation of the dialysis  
18 also leads to a dramatically reduced prognosis,  
19 in many cases three or four days.

20 Next slide, please.

21 So, all of this work informed some of  
22 what my team and I did in hospice and dialysis in  
23 the general population that is subject to the  
24 Medicare hospice benefit. So, we developed a  
25 collaborative program between nonprofit hospice  
26 and dialysis companies where they negotiated a

1       contracted rate to cover the cost of dialysis  
2       treatments and corresponding transportation to  
3       the dialysis clinic from home.

4               We found that about half of concurrent  
5       care enrollees actually did not use any dialysis  
6       after enrolling in concurrent care. So, once  
7       they got their feet under them in hospice, saw  
8       the benefits that hospice could provide to them  
9       and their families, they decided that they did  
10      not want any additional dialysis treatments to  
11      improve their comfort.

12             We also saw the mean hospice length of  
13      stay was about 12 days. This is compared to the  
14      national average of about six days in people with  
15      end-stage kidney disease. And among that subset  
16      of people who did receive dialysis upon hospice  
17      enrollment, received that concurrent care, length  
18      of stay was about 17 days. So, these are people  
19      who maybe are slightly less acutely near the end  
20      of life and were able to really fully see that  
21      hospice benefit in greater than two weeks of  
22      enrollment.

23             This is an illustrative quote from a  
24      family caregiver involved in our program. And  
25      this family member said after a patient's death,  
26      "This was a way for us to let his body decide."

1 So, this is giving some agency back to patients  
2 and their families.

3 Next slide, please.

4 So, I want to talk a little bit about  
5 the policy landscape in this space, starting with  
6 the Medicare Care Choices Model. This came up  
7 yesterday.

8 MCCM paid a higher per capita fee to  
9 hospices, and then, fee-for-service Medicare  
10 covered the disease-directed concurrent  
11 palliative therapies.

12 The hospice was responsible for the  
13 administrative burden, including determining if  
14 patients were eligible, and the eligible primary  
15 diagnoses were limited to cancer, COPD<sup>7</sup>,  
16 congestive heart failure, or HIV/AIDS.

17 So, MCCM did find higher hospice  
18 enrollment and about a week earlier than the non-  
19 participating hospices. The MCCM program was  
20 associated with decreased inpatient care  
21 utilization, which was associated with lower  
22 costs, and caregivers reported a better  
23 experience at the end of life.

24 It's important to note that most  
25 enrollees were from large hospices, and many

---

7 Chronic obstructive pulmonary disease

1 hospices withdrew from the model partway through.  
2 Hospices cited operational difficulties,  
3 including identifying eligible beneficiaries  
4 based on the relatively narrow, what they  
5 perceived to be, eligibility criteria based on  
6 diagnosis.

7 Next slide, please.

8 This is the ongoing value-based  
9 insurance design (VBID) model test, which carves  
10 hospice into Medicare Advantage, as opposed to  
11 the Medicare hospice benefit, which generally  
12 kicks people back to fee-for-service upon hospice  
13 enrollment.

14 Hospices can provide concurrent care,  
15 transitional concurrent care, at a higher per-  
16 capita fee in the first month of hospice  
17 enrollment at the beneficiary level.  
18 Reimbursement for concurrent care is related to  
19 the terminal condition, and the hospice retains  
20 responsibility for treatment plans and care  
21 coordination, which is the case at baseline,  
22 though concurrent care requires a bit more care  
23 coordination than is typical in a hospice  
24 setting.

25 So, the VBID model test is ongoing.  
26 Early findings indicate that there was low

1 enrollment into the model and in hospice, and  
2 there was no change in hospice utilization  
3 between VBID participating and comparison plans  
4 in the first year, which was 2021. The VBID model  
5 will be sunseting in 2024 and the evaluation  
6 will continue.

7 Next slide, please.

8 What are components of effective  
9 concurrent care from the literature? The first  
10 is accurate patient identification and well-tread  
11 referral pathways, though those referral pathways  
12 may be diverse, both from outpatient and  
13 inpatient settings, upon an acute event that may  
14 precipitate hospice eligibility or  
15 appropriateness consistent with rules of care.

16 Good communication and workflows are  
17 essential for interdisciplinary collaboration.  
18 So, we have pretty tight teams that have pretty  
19 robust communication, as those care plans  
20 continue to shift close to the end of life.

21 Education and engagement is essential  
22 both for clinicians and administrators, and for  
23 patients and families, to facilitate goals of  
24 care conversations and whether concurrent care is  
25 a good choice to meet goals. These conversations  
26 in best-case scenarios start early and continue

1 in an ongoing manner up until the point where  
2 someone's goals shift toward comfort and hospice  
3 becomes appropriate.

4 Care coordination is also essential  
5 and leads to smooth transitions, and the converse  
6 is also true. A lack of coordinated care can  
7 lead to particularly rough transitions in this  
8 new care coordination environment for hospice  
9 where they're working with other disease-directed  
10 providers.

11 Next slide, please.

12 So, the key takeaways here are that  
13 concurrent care is feasible and effective to  
14 promote timely patient-centered care, to retain  
15 that autonomy via hospice near the end of life.

16 Feasibility of the model, however, is  
17 driven by interdisciplinary care coordination,  
18 clinical education, and clear referral pathways  
19 and operational support on the ground.

20 Payment models for concurrent care  
21 require those operational clinical models. This  
22 includes care plans that are modified over time,  
23 consistent with both patient goals and the  
24 clinical state; interdisciplinary care  
25 coordination across multiple clinical teams and  
26 providers; and clear, but flexible workflows that

1 are met with education and ongoing communication  
2 between clinicians in all care delivery settings,  
3 patients, and their family caregivers.

4 Next slide, please.

5 Thank you.

6 CO-CHAIR HARDIN: Thank you so much,  
7 Natalie. Again, very interesting presentation as  
8 well.

9 Next, I'd like to welcome Dr. Ira  
10 Byock, who is an Emeritus Professor of Medicine  
11 and Community and Family Medicine at Dartmouth  
12 Geisel School of Medicine.

13 Welcome, Ira. Please go ahead.

14 DR. BYOCK: Thank you very much.  
15 Thanks to the Committee for having me.

16 I think my comments will complement  
17 those made by Dr. Merkelz and Dr. Ernecoff, and  
18 I hope they're helpful this morning.

19 Next slide, please.

20 I want to stay at a high level, at  
21 least initially. The culture of mainstream  
22 Western medicine sees illness and injury through  
23 a problem-based lens. That's very intentional.

24 Next slide.

25 This was concretized, if you will, and  
26 reinforced by Dr. Larry Weed's problem-oriented

1 medical record, which is now reflected in billing  
2 codes and really focuses physicians, all  
3 clinicians, on the problems of illness and injury  
4 and the goals of care, longevity, rehabilitation,  
5 and alleviation of symptoms and suffering.

6 Next slide.

7 But from patients' perspective,  
8 health and injury and illness are experienced as  
9 personal. These are personal experiences.

10 This, by the way, is my father in 1980  
11 meeting my eldest daughter Lila, the only  
12 grandchild he got to meet. Dad was living with  
13 pancreatic cancer at the time.

14 Health and illness are  
15 personal -- next slide -- for each individual.  
16 But whenever an individual receives a serious  
17 diagnosis, his and her family shares in the  
18 illness.

19 Now, this conceptual shift, -next  
20 slide,-- from problems to patient experience may  
21 seem subtle and, like, you know, obvious, but it  
22 has remarkable implications for the skill set  
23 that we bring, the conceptual framework that we  
24 see the patient experience through, and what  
25 actions are brought about by our plans of care.

26 Next slide.



1           When you talk to people who are being  
2 wheeled into transplant surgery or facing cancer  
3 chemotherapy for the second, third, fourth, or  
4 more times, what matters most? The answer will  
5 always include the names of other people. This  
6 is a constant within the human condition that  
7 transcends language and ethnicity, color of skin,  
8 and really all the demographics.

9           People also want to make sure that  
10 they are not a burden to the people they love;  
11 that they retain some semblance of human dignity;  
12 that they do not suffer untoward and unresponded  
13 to symptoms and sources of distress, and that  
14 they       feel       heard,       seen,       and  
15 understood -- hopefully, not falling through the  
16 cracks of our problem-based medical model-driven  
17 system.

18           Next slide.

19           When you shift from caring for illness  
20 and injury to caring for whole persons, in  
21 addition to alleviating symptoms and suffering  
22 and working toward rehabilitating some functional  
23 independence, you can look at what exists in  
24 opportunities       within       the       personal  
25 realm -- fostering personal well-being through  
26 the end of life, through experiences of illness,

1 dying, caregiving. Now, I'll apply this shift in  
2 conceptual framework or expanded framework to  
3 doctors' roles in just a few moments.

4 Next slide.

5 During the pandemic, I sketched out  
6 for the teams that I was helping to direct within  
7 the Providence health system a notion of a  
8 continuum of human caring that includes and  
9 building on Maslow's Hierarchy of Needs, includes  
10 the human essentials of food and shelter from the  
11 elements; the medical problem-based model, which  
12 is fine for as far as it goes, of diagnosing and  
13 treating people's conditions, and making sure  
14 that we are aligning what we do with what people  
15 want, but also reminding us and visually giving  
16 us cues to the other work that we can do within  
17 the realm of the personal experience of the  
18 patient and family to provide them with real  
19 tangible benefits.

20 Next slide.

21 I just want to remind people that,  
22 back in 2001, the Institute of Medicine, under  
23 the direction of Don Berwick's committee, created  
24 this six-component taxonomy of quality, saying  
25 that care has to be safe, effective, patient-  
26 centered, timely, efficient, and equitable.

1                   Next slide.

2                   And       they       defined       "patient-  
3                   centeredness" as "health care that establishes a  
4                   partnership among practitioners, patients, and  
5                   families, when appropriate, to ensure that  
6                   decisions respect patients' wants, needs, and  
7                   preferences, and that patients have the education  
8                   and support they need to make decisions and  
9                   participate in their own care."

10                   Decisional support is one of the major  
11                   opportunities that we have to transition from the  
12                   medical problem-bound model of medicine to really  
13                   a human care and whole-person approach that  
14                   honors the opportunity and the potential within  
15                   our human experience.

16                   Next slide.

17                   It's easier to write those quality  
18                   standards than to accomplish them. Why? Because  
19                   it's hard to have these conversations, and, yes,  
20                   you will be told multiple times that doctors  
21                   simply do not have the time to have these  
22                   conversations. That's partly correct, but,  
23                   mostly, they avoid these conversations and use  
24                   the lack of time as an excuse because it's hard  
25                   to talk about anything having to do with illness  
26                   and dying.

1           It's awkward within our culture, but  
2           for most Western cultures it feels like failure  
3           at times to talk about the eventual death of a  
4           person. Death is inevitable, but suffering is  
5           not. And these conversations have major impacts  
6           on patients' decisions and what they do, what  
7           treatments they choose to take or avoid.

8           Conversations can also, as hard as  
9           they are, be a source of satisfaction in the  
10          clinical experience of professionals, and that  
11          skill-building has been shown to be effective in  
12          making these conversations much easier and make  
13          them happen more commonly and with greater  
14          effect.

15          Next slide.

16          What to do? Well, we need to make  
17          quality standards about these conversations.  
18          Make them like completing an allergy list or a  
19          medication reconciliation -- to normalize it.

20          One of the ways to make it easier to  
21          introduce these conversations about advance care  
22          planning and goals of care are to simply say, "We  
23          ask everyone about their preferences," especially  
24          who they would want to speak for them if they  
25          became unable to speak for themselves. And we  
26          ask them to complete an advance directive.

1                   Next slide.

2                   The other thing that clinicians have  
3 to say is, "I have an advance directive, and so  
4 does every person in my family," which is true  
5 for me. I asked my two daughters to develop  
6 advance directives when they turned 18. I do so  
7 because it's normal, healthy adult behavior.

8                   And I have an advance directive not  
9 because I teach this stuff and believe in it -- I  
10 do believe in it -- but because I have a family,  
11 and I know that, if I become seriously ill, my  
12 wife and daughters will wrestle with decisions  
13 about my care. I want to lift a little bit of  
14 the burden from their shoulders and give them  
15 clear authority to speak for me.

16                   Next slide.

17                   These days we talk about shared  
18 decision-making as a key component and process of  
19 quality. Shared decision-making has changed a  
20 great deal during my lifetime.

21                   In the '60s, shared decision-making  
22 occurred when the doctor shared his decisions  
23 with you. But now -- next slide -- we realize  
24 that shared decision-making, as the IOM<sup>8</sup>  
25 mentioned, is a partnership. We doctors come to

1 the clinical encounter, hopefully, expert in the  
2 diagnosis and treatment of the patient's  
3 condition. But patients are already expert in  
4 their personal values, their preferences, and  
5 priorities.

6 Next slide.

7 We need a "team of team" approach to  
8 really do the best job possible. And palliative  
9 care is one of the teams that should be involved  
10 when people have serious illness.

11 This is some actual system-wide data  
12 from the Providence health system over the year  
13 2021 to 2022. I used it as an example of why it  
14 is important to have these conversations.

15 Now, what I'm showing here is data on  
16 people with serious illness by Dartmouth Atlas  
17 criteria who were admitted to an acute care  
18 hospital. And we looked at, does these patients'  
19 code status change during hospitalization? We  
20 used code status change as a leading indicator  
21 for Triple Aim goals, if you will. So, you didn't  
22 have to wait for a cohort to die and look back.

23 And you'll notice that, if patients  
24 with serious illness become acutely ill and  
25 admitted to the hospital, about 19 percent of  
26 them change code status. If they have seen

1 palliative care, that changes to about 35  
2 percent. If they weren't seen by palliative  
3 care, but someone entered a goals of care  
4 conversation documentation, the change in code  
5 status was 42 percent. And if palliative care  
6 was involved and palliative probably did that  
7 goals of care conversation, it was 63 percent.

8 Next slide.

9 We worry that patients will recoil  
10 from these goals of care conversations, but  
11 here's some data from a single hospital within  
12 the Providence system. This is Press Ganey data  
13 that shows that people who have goals of care  
14 conversations, at least in this hospital, had a  
15 higher Press Ganey score on multiple salient  
16 categories of satisfaction.

17 I want you to note that all of these  
18 goals of care conversations were conducted by  
19 non-palliative care clinicians. We washed the  
20 palliative care goals of care conversations from  
21 this data before analyzing it. So, people didn't  
22 recoil. They actually felt better for having it.  
23 And notice that these are 10 percent or more  
24 changes in or differences in Press Ganey, which  
25 are pretty large in Press Ganey terms.

26 Next slide.

1           Similarly to advance care planning and  
2 goals of care conversations, we can normalize  
3 early concurrent palliative care simply by  
4 introducing it to patients as saying, "Our  
5 palliative care team is here to provide you and  
6 your family with an extra layer of support." This  
7 is kind of the "team of teams" approach that  
8 General Stanley McChrystal wrote about in his  
9 book.

10           Next slide.

11           When you look at the impact of early  
12 palliative care on salient outcomes, -- again,  
13 this is actual data from the Providence  
14 system -- we saw that, when palliative care sees  
15 a hospitalized patient within the first Medicare  
16 day, before the second midnight, in each of these  
17 years they had less days in the hospital than  
18 palliative care patients seen initially after  
19 that second midnight.

20           Now, this is financially remarkable in  
21 terms of bed-days saved, but it's also a health  
22 outcome, because it's harder to fall in the  
23 hospital if you've been home for three or four  
24 days, harder to develop delirium, less likely to  
25 develop C. diff. or other hospital-acquired  
26 infections.



1                   Next slide.

2                   So, what are doctors for as we develop  
3 new models? Well, here's the list from the  
4 problem-oriented approach or problem-bound  
5 approach of medicine that we went over.

6                   Next slide.

7                   But doctors can also be for assisting  
8 patients with shared decision-making and  
9 treatment decisions, accompanying them through  
10 the difficulties of illness and disability,  
11 improving their well-being, not just their  
12 symptoms, and preserving and fostering people's  
13 opportunity to grow individually and together  
14 through the end of life.

15                   I have two more slides. Next slide.

16                   I would suggest to the Committee that  
17 there already is a satisfying model of physician  
18 practice that exists in our experience. It's  
19 called concierge medicine. Now, I know this has  
20 lots of problems and will challenge us as we look  
21 at adopting it, but what's important here is that  
22 patients love having a concierge physician, and  
23 concierge physicians are happy. And that  
24 Quadruple Aim goal of clinician satisfaction and  
25 joy at work is best accomplished through this  
26 model.

1                   Next slide.

2                   If I had more time, I would talk about  
3                   some models that we have worked with or  
4                   interventions and innovations that we have worked  
5                   with, and some that are coming.

6                   Personalizing the electronic health  
7                   record, so that it is less solely problem-based  
8                   and incorporates SDOH<sup>9</sup> and quality of life  
9                   indices, and shows people's thumbnail photos.

10                  I think AI is going to make a huge  
11                  change in patient-reporting outcome measures, or  
12                  I like to call them "patient-reported  
13                  information," feeding forward to patients from an  
14                  interview with an AI avatar rather than the  
15                  PROMIS<sup>10</sup> surveys information salient to their  
16                  health, but also their well-being, and feeding  
17                  that forward on dashboards. So, at the point of  
18                  contact with a physician, they can be addressed  
19                  as priorities.

20                  Next slide.

21                  Thank you very much for the  
22                  opportunity to present today.

23                  CO-CHAIR HARDIN: Thank you so much  
24                  for another essential presentation. Looking  
25                  forward to asking the questions.

---

9 Social determinants of health

10 Patient-Reported Outcomes Measurement Information System

1                   Next, we have Dr. Betty Ferrell, who  
2                   is Director and Professor in the Division of  
3                   Nursing Research and Education, Department of  
4                   Population Sciences, at City of Hope.

5                   Welcome, Betty. Please go ahead.

6                   DR. FERRELL: Great. Thank you so  
7                   much. It's an honor to be included in such an  
8                   important topic today.

9                   And I'll be talking about some of the  
10                  challenges in, specifically, the workforce to  
11                  provide all of this wonderful care that we've  
12                  been talking about this morning.

13                  Next.

14                  So, I think it's really important to  
15                  acknowledge that nurses are pivotal to the  
16                  workforce to accomplish anything that we hope to  
17                  do in the area of serious illness care. So,  
18                  nurses are really the predominant professional in  
19                  health care across all health care settings and  
20                  in all patient populations.

21                  And nursing care is central to patient  
22                  and family understanding of their illness; how  
23                  they manage distressing symptoms; how they  
24                  transition between health care systems; and they  
25                  are present across all settings at the time of  
26                  death.

1           And so, I think my conclusion that I  
2 will present to you at the beginning of this  
3 presentation is, if you want to create a better  
4 health care system, that will be impossible to do  
5 without centrally involving nurses as the key  
6 component of the workforce.

7           Next.

8           Also, I'd mention that advanced  
9 practice nurses are seriously underutilized in  
10 serious illness care and have untapped potential  
11 to manage patients with serious complex illness.

12           There are many models now of nurse  
13 practitioners that are serving in rural  
14 communities and underserved populations and  
15 really improving serious illness care.

16           Next.

17           We also know this is the Future of  
18 Nursing Report that was reissued recently  
19 charting the course for 2020 to 2030. And that  
20 report, published by the National Academies,  
21 concluded that nurses are vital in providing  
22 initial assessment of needs of diverse  
23 populations; care during disease-focused care;  
24 transition to palliative care; initiating  
25 hospice; managing urgent needs; supporting family  
26 caregivers; providing telehealth; and care at the

1 end of life.

2 Next.

3 So, what are the best practices in  
4 complex care in serious illness? You know, often  
5 I'm asked, "Well, how do you define palliative  
6 care? What's the best definition of palliative  
7 care?" And there are many definitions -- the  
8 World Health Organization, the National Consensus  
9 Project.

10 But this is the definition that I  
11 always share. "Quality palliative care is the  
12 kind of care that you would want if you or someone  
13 you care about is seriously ill. Patient-  
14 centered care in complex serious illness attends  
15 to physical, psychological, social, and spiritual  
16 needs."

17 So, essentially, everything Dr. Byock  
18 just said about what would truly patient-focused  
19 care look like, if we want to deliver that care  
20 to patients, then that means we need to train  
21 clinicians in how to provide it. But again, if  
22 you remember nothing else today, remember the  
23 first sentence here: "Quality palliative care is  
24 the kind of care that you would want if you or  
25 someone you care about is seriously ill."

26 Next.

1           So, best practices include: assessing  
2           the person and their family's needs; assessing  
3           symptoms and quality-of-life concerns; a clear  
4           understanding of the goals of care; early  
5           integration of palliative care; early referral to  
6           hospice; and access to support for symptoms and  
7           changing needs.

8           And obviously, again, emphasizing  
9           changing needs. When you're newly diagnosed with  
10          heart failure or pulmonary disease or cancer,  
11          needs change over the months or years ahead. And  
12          so, we need systems that are responsive to those  
13          changes.

14          Next.

15          So, there's a lot of attention now in  
16          the literature and in our professional  
17          organizations to what is being called "the  
18          generalist-specialist model." And essentially,  
19          what this refers to, it's wonderful, wonderful  
20          that we have a palliative care service; that we  
21          have palliative care specialists.

22          But, for example, I work in a  
23          hospital, a cancer hospital, 250 inpatients a  
24          day, about 700 outpatients a day. That's  
25          about -- what? -- 900 patients a day in my cancer  
26          hospital. And we have a palliative care team

1 which is outstanding, but how many patients today  
2 will they really see? You know, maybe 30  
3 patients. And so, what about the care of the  
4 other 900?

5 So, the bottom line is that in all  
6 settings of serious illness, absolutely, we need  
7 our specialists. What's more important is a  
8 generalist-specialist model. Shouldn't we expect  
9 that every clinician in my hospital, a cancer  
10 hospital, knows how to provide very excellent  
11 palliative care? Shouldn't everyone in my  
12 organization be functioning at a high level of  
13 symptom management and quality-of-life  
14 attention?

15 So, I wanted to just share with you an  
16 example. This is a grant that I serve as the PI<sup>11</sup>  
17 on. It's funded by the National Cancer Institute  
18 through an R25 training grant. And it's called  
19 "Preparing Oncology Advanced Practice Nurses as  
20 Generalists in Palliative Care."

21 And so, basically, what we've done is  
22 to recruit nurses around the country who are  
23 advanced practice nurses working in cancer care.  
24 So, this might be nurses working in a breast  
25 clinic or giving chemotherapy or working on a

---

11 Principal investigator

1       hematology ward. So, these are not palliative  
2       care nurses. They're oncology advanced practice  
3       nurses. And we bring them to this training  
4       program and, basically, give them the palliative  
5       care knowledge to infuse into their oncology  
6       practice. And so, in the first funding through  
7       this NCI grant, we trained 430 oncology advanced  
8       practice nurses from all over the country.

9               And this is just one little piece of  
10       the follow-up data:

11               At 12 months, we follow up to look at  
12       documented changes in practice. So, not just did  
13       they like the course or did they learn from the  
14       course, but did it impact their practice?

15               And so, we evaluated things like:  
16       were these oncology advanced practice nurses more  
17       involved with things like family meetings;  
18       communicating with the oncologist about the  
19       patient goals and with the patients about their  
20       goals of care; referring families for bereavement  
21       support and supporting the other clinical staff  
22       and end-of-life care?

23               So, this paper was published in the  
24       Journal of Palliative Medicine.

25               But the important thing is, if we want  
26       the patient care, we need to train clinicians to



1 provide it. And there's a very good evidence  
2 base now that, when we train clinicians, we can  
3 impact patient care.

4 Next.

5 Also, I just want to again emphasize  
6 that good palliative care applies to every  
7 setting of care. So, two years ago, I had the  
8 privilege of chairing the National Academies of  
9 Medicine report on "Improving Care in Nursing  
10 Homes." And the report was issued in 2022.

11 And again, we need to remember that  
12 it's not just patients in acute care settings,  
13 but patients in home care, in nursing homes,  
14 every place that a patient, a person with a  
15 serious illness can be.

16 Next slide.

17 So, this is just one slide from that  
18 report in the nursing home. It's that, if we  
19 want to improve care in nursing homes, it begins  
20 with the workforce -- with competitive wages and  
21 benefits, staff scheduling, and expertise. For  
22 example, having a social worker in each nursing  
23 home, empowerment of Certified Nursing  
24 Assistants, education and training, and good data  
25 collection and research.

26 Next.

1           I think, also, very critical, as I  
2 mentioned, because nursing is the largest part of  
3 the workforce across every population in every  
4 setting of care, we need good training of  
5 clinicians to provide that quality care in  
6 serious illness.

7           Next.

8           So, over the last 24 years, I've been  
9 the principal investigator of a project called  
10 ELNEC, the End-of-Life Nursing Education  
11 Consortium. And ELNEC is a partnership where I  
12 work, the City of Hope, and the American  
13 Association of Colleges of Nursing.

14           And this has been a tremendous  
15 partnership. Because to have the leading nursing  
16 education organization in the country, the  
17 American Association of Colleges of Nursing  
18 [AACN], really places a value and reinforces  
19 palliative care as an essential part of nursing  
20 practice. It has made all the difference in the  
21 world of the changes, the remarkable changes,  
22 we've seen in recent years.

23           Next? So, what do we teach if we  
24 wanted to train nurses, what would we teach them  
25 to provide better serious illness care?

26           Well, we use the national guidelines

1 for palliative care. And so, these are the  
2 domains. This is what the national organizations  
3 have all come to agreement about what is quality  
4 care in serious illness.

5 It is a general overview of what is  
6 palliative care. How do the goals of your care  
7 change?

8 It's pain management. It's symptom  
9 management. It's the many ethical issues that  
10 are confronted on a daily basis, by clinicians.

11 It's cultural and spiritual care.  
12 Good communication. Loss, grief, and bereavement  
13 care for patients and families.

14 And it is care that is focused on the  
15 final hours.

16 So we've taken the national  
17 guidelines, what constitutes quality care, and  
18 then we've now created curricula for how do we  
19 teach this. How do we enhance the skills of the  
20 clinician?

21 Next. So, the ELNEC project as I  
22 mentioned, is a partnership with the AACN. It  
23 started in the year 2000 with funding by the  
24 Robert Wood Johnson Foundation.

25 Our first course was held in the year  
26 2001, and this year January 2024 marked our 300th

1 ELNEC trainer course.

2 So, a tremendous opportunity over  
3 these years to do a lot of training around the  
4 country, and around the world.

5 Next. ELNEC is a train-the-trainer  
6 course. So from the beginning, we realized  
7 there's over three million registered nurses in  
8 the country. The only way to really impact care  
9 is to create a train the trainer.

10 So when people come to us, they're not  
11 only learning the content themselves, but they're  
12 going home prepared to teach others.

13 So, as of this point in time, we have  
14 over 47,000 ELNEC trainers. People who have been  
15 trained through our courses to not only improve  
16 their own practice, but to train others.

17 These ELNEC trainers have returned to  
18 their institutions and settings, and now trained  
19 over 1.5 million clinicians across disciplines,  
20 presented in every U.S. state and D.C.

21 In addition, we also have online  
22 training completed through Relias Learning. And  
23 one of our most important accomplishments is we  
24 have now integrated palliative care for nursing  
25 education.

26 So, we've created an entire curriculum

1 for undergraduate nursing; an entire curriculum  
2 for graduate nursing.

3 As of today, we have -- now, these  
4 numbers are a little dated, but we have over 1,200  
5 undergraduate nursing programs in the country now  
6 teaching nurses about palliative care, and over  
7 400 graduate schools. Again, preparing our  
8 advanced practitioners in how to provide this  
9 serious illness care.

10 We are getting close to 100,000  
11 students who have completed all the modules, and  
12 tested in this content. And those numbers are  
13 growing rapidly. And our curriculum has been  
14 taught in 114 countries and translated into 12  
15 languages.

16 Next. Also, I think something that's  
17 really important is there's common thread. So  
18 for example, whether you have heart failure or  
19 lung disease, or cancer, dyspnea is important.

20 And, regardless if you're in a nursing  
21 home or home care, an in-patient hospice or an  
22 acute care setting, you have some common needs.  
23 But I also want to really emphasize that there  
24 are, is also variation across patient population  
25 and setting.

26 And for this reason, we have created

1 several different curricula. We have a core  
2 curriculum, which is our sort of generic, all  
3 serious illness.

4 But we also have an entire curriculum  
5 for geriatric care, which includes teaching of  
6 unlicensed staff.

7 Pediatrics, which now also focuses on  
8 neonatal and even perinatal care.

9 The critical care setting where issues  
10 are very different in serious illness. ELNEC for  
11 advanced practice nurses, ELNEC oncology, and an  
12 entire communication curriculum.

13 And then as I mentioned, we also now  
14 have training for undergraduate and graduates.  
15 And most of these courses are also available  
16 online, as well as in-person training.

17 Next. So, I think just the bottom  
18 line is that the nursing workforce is essential  
19 in transforming serious illness care. And if the  
20 goal is to improve care of patients, it has to  
21 begin with patient -- with nursing.

22 Thank you.

23 CO-CHAIR HARDIN: Thank you so much,  
24 Dr. Ferrell. Another essential presentation.  
25 Really interesting from all four of you.

26 So next, we're going to open up the

1 discussion to our Committee members. So at this  
2 time, if PTAC members will flip your name tent up  
3 or raise your hand in Zoom, Jay, if you have  
4 questions for our guests.

5 And it's difficult for me to see the  
6 room so Angelo, if you could help me see the tents  
7 that are up, I'd greatly appreciate it.

8 CO-CHAIR SINOPOLI: I can do that.

9 Terry?

10 DR. MILLS: Sure, thanks. Great  
11 presentations.

12 The PTAC focuses a lot on measurement  
13 in metrics, and reducing caregiver burden, that  
14 sort of thing.

15 So, interested in knowing your advice  
16 and what the literature says about what are the  
17 approaches to performance measurement in this  
18 space, that would be most appropriate to help  
19 guide the development of total cost of care  
20 models, involving palliative and hospice care?

21 DR. FERRELL: I could start kind of  
22 putting on my researcher hat with the clinical  
23 needs. And I would just say that we're really  
24 fortunate in our field of palliative care,  
25 because we do have a strong national guidelines  
26 that define what are those important dimensions.

1           And so, we know that symptom  
2 management is a very high priority for patients  
3 and families. Are we managing dyspnea? Are we  
4 getting the pain under control? Is nausea  
5 controlled?

6           And so, I would say that there's been  
7 a great deal of work in quality measurement of  
8 the key outcomes of palliative care.

9           And so, if we look back at each of  
10 those domains, pain management, symptom  
11 management, are there goals of care  
12 conversations? Do we have advance directives?

13           That there's been a lot of work and so  
14 there are good, good outcome measures. Groups  
15 like the American Academy of Hospice and Health  
16 Medicine have done a lot of great work, like the  
17 project Measuring What Matters.

18           And so, we do have good outcome  
19 measures, and we are able to capture the things  
20 that are most important to patients and families.

21           DR. MERKELZ: I'll also comment  
22 regarding burden. Families, absolutely symptoms  
23 are incredibly important.

24           Families are incredibly burdened by  
25 polypharmacy, and trying to understand a  
26 medication regimen.



1           It's almost we take for granted so  
2 often when we, as clinicians and physicians,  
3 prescribe medications and give the prescription  
4 to the family and the patient, the failure that  
5 takes place after that point.

6           And very often we get a patient that  
7 ends up getting labeled as non-compliant. But  
8 it's not a matter of non-compliance, it's they  
9 don't understand the importance of how to take  
10 medications.

11           There's no one validating that a  
12 system is in place. Every home I go into, usually  
13 what I find is the bucket or the shoebox of  
14 medications.

15           And the patient literally goes pill  
16 bottle by pill bottle to decide if this is the  
17 pill they're supposed to take right now.

18           Or they fill up these medication  
19 containers, which is a great next step, but every  
20 time I look into it because nobody's ever  
21 validated that they're correct, you'll find well,  
22 Tuesday has five medications and Wednesday has  
23 seven. And Thursday has two of the same  
24 medications.

25           This occurs time and time again. And  
26 I just did another visit the other day where I

1 walked in the home, and the patient's in AFib  
2 with rapid ventricular response at 180 beats per  
3 minute.

4 It was 11:30, she had a rough night.  
5 She hadn't taken her morning medications yet.  
6 She hadn't taken her diltiazem yet.

7 Nobody ever told her the importance of  
8 taking her medications on her schedule.

9 So, a lot of care burden stress comes  
10 from a lack of standardization and measures to,  
11 for clinicians to look at what needs to be  
12 measured, that patients understand the  
13 medications they need to take.

14 That we validate that they're  
15 following their treatment regimen. That we  
16 validate they're following restrictions and  
17 precautions. That they have a system in place to  
18 take those medications. That they know what to  
19 monitor, and most importantly, they know how to  
20 respond when variances occur. When we train  
21 families and caregivers in this, stress can go  
22 down greatly.

23 CO-CHAIR HARDIN: Thank you.

24 And, Ira, please go ahead.

25 DR. BYOCK: I agree with what's just  
26 been said. I would also caution the Committee

1 away from an overemphasis on outcomes. Most of  
2 us have been trained in a Donabedian model of  
3 structure process outcome. And sometimes we have  
4 under emphasized and process.

5 The process of goal alignment requires  
6 iterative goals of care conversations. As  
7 people's condition changes, their priorities may  
8 change.

9 And that in and of itself, is a  
10 quality measure. Have these conversations  
11 happened in a timely fashion, iteratively as  
12 things change?

13 I also want to emphasize the  
14 importance of structure. These days we have  
15 hospice programs in which there may be one  
16 physician for 100, to even 300 patients.

17 That's not an adequate structure for  
18 a good program. Nurses need, as Dr. Ferrell was  
19 mentioning, nurses have to be staffed well enough  
20 so that there's not one hospice nurse for 15 to  
21 20 seriously ill patients.

22 That's a prescription for burning out  
23 the nurse, and for leaving unmet needs on  
24 patients and families.

25 So while yes, we need outcome  
26 measures, please don't forget the structures and

1 the processes needed to deliver high-quality,  
2 value-based care.

3 CO-CHAIR HARDIN: Key points.  
4 Natalie, please go ahead.

5 DR. ERNECOFF: Thank you. I'll agree  
6 with everything everyone else said and just  
7 briefly add to Dr. Byock's presentation, that  
8 people want to have these goals of care  
9 conversations. And they want this ongoing  
10 communication. They want to know what their  
11 prognosis is.

12 And so, yesterday we heard about the  
13 AAHPM<sup>12</sup>'s feeling heard and understood measure.  
14 And I think those types of measures of what does  
15 the care experience look like; are people having  
16 their needs met, is essential as well.

17 And also couldn't agree more strongly  
18 about Dr. Byock's structure point, as well. Is  
19 the infrastructure in place? Do we have the  
20 resources that we actually need to make, meet the  
21 needs?

22 CO-CHAIR HARDIN: Great points. Jay,  
23 please go ahead.

24 DR. FELDSTEIN: Yes, my question is  
25 basically for the group. And that we know that

1 minority populations and issues with health  
2 equity get exacerbated a lot of times in end-of-  
3 life care. And palliative care.

4 So, I was just curious as to each of  
5 you how you're addressing that in your  
6 organizations.

7 DR. FERRELL: I can start. In our  
8 training projects, we try to give a great deal of  
9 attention to social determinants of health, and  
10 a lot of attention to culture and diversity in  
11 our training.

12 And I think it really -- it has to be  
13 integrated in everything that we do. And so, it  
14 begins with the initial assessment of the  
15 patient. What are some of the barriers of  
16 patients that don't trust the health care system,  
17 and have good reason not to trust the health care  
18 system?

19 Or, and are afraid to communicate  
20 their needs. It happens when we get to pain and  
21 symptom management in terms of people who live in  
22 neighborhoods that don't have access to the  
23 medications that they need to relieve their  
24 symptoms.

25 It is a part of our failure to do good  
26 cultural assessments, and to understand important

1 individual beliefs and practices.

2           Whether that be spiritual practices,  
3 or cultural factors that are influencing the  
4 experience of illness. And so, we have to take  
5 every aspect of serious illness care and really  
6 pause to say, this is not one size fits all.

7           But I think it really starts with the  
8 things you've heard about today. If we don't  
9 start our interaction with the seriously ill  
10 person by saying who are you, what do I need to  
11 know about you, who is your family? Is faith or  
12 spirituality important to you?

13           Then if we don't know the patient from  
14 the beginning, and Harvey Chochinov is a great  
15 psychiatrist and colleague in palliative care.

16           And he demonstrated with an initial  
17 assessment that at the end of that initial  
18 encounter, he asks the question, what do I need  
19 to know about you to provide the best care for  
20 you?

21           And so, I think this is not like  
22 here's the tool that will do this. It's more as  
23 Dr. Byock just said, it's the process by which we  
24 get to know the patient is the way that we will  
25 make sure that we are listening to and responding  
26 to, diversity.

1 DR. MERKELZ: I think the process by  
2 which we go about getting to know the patient  
3 though, does, does need fundamentally to be  
4 communicated. And trained.

5 Because when I first started doing  
6 this work and looking at the substantive outcome  
7 care, when I looked at what the nurses and the  
8 clinicians provided when they went into the  
9 individual patient's home, and what they would  
10 document, there's on the line of all the forms,  
11 there was reason for visit.

12 And what they would document was SNV,  
13 which stood for skilled nursing visit. They put  
14 the reason they were there in the patient's home,  
15 but not what the patient needed.

16 So, we had to give specific training  
17 and guidance to help identify what matters most  
18 to the patient, and how to ask and address the  
19 greatest concern.

20 So I agree, you need to get to the  
21 root of what's important to the patient. But I  
22 think our clinicians really need frontline  
23 training, and really specific actions and tools  
24 to drive their performance.

25 Otherwise, I am left with workforces,  
26 the thousands of clinicians all doing their level

1 of care. And that type of variability is my  
2 biggest enemy towards quality.

3 The best I can do to try to  
4 standardize the approach to have meaningful  
5 communication, to have meaningful discussions, I  
6 think is in the best interest of the patient and  
7 the provider.

8 CO-CHAIR HARDIN: Natalie, or Ira,  
9 would you like to comment?

10 DR. BYOCK: Can I just say, I went,  
11 the very earliest portion of my brief  
12 presentation I talked about the problem-oriented  
13 medical record.

14 And it's a great tool. I mean, it was  
15 a really remarkable transformative tool in  
16 medical communication. And in conveying plans of  
17 care to other clinicians.

18 But it does focus us on the medical  
19 problems soaping our notes. And I can remember  
20 very carefully, very clearly as a young medical  
21 student and then young family doc, that it made  
22 me feel like the things that were personally  
23 important to the patients were fluff.

24 That my job was to deal with the  
25 medical problems. And I think we really, if we're  
26 going to transform the way care is delivered,



1 including for people with very diverse cultures  
2 and priorities in their own lives, we really have  
3 to change the way that lens of the medical model,  
4 and make it more porous to the real priorities of  
5 the lived experience of patients. And their  
6 families.

7 DR. ERNECOFF: I can answer the  
8 question from a slightly different perspective.  
9 I agree with everything we've heard so far.

10 From the research and implementation  
11 side, we include members of the communities we  
12 work with on our research teams.

13 We involve them in development of the  
14 clinical programs structurally. And we try as  
15 hard as we can to be mindful of what are the  
16 structural barriers people might be coming up  
17 against.

18 I do most of my work in hospice and  
19 end-stage kidney disease right now. And a big  
20 part of this concurrent care work is getting  
21 access to those dialysis clinics for people who  
22 live in rural areas, and are too seriously ill  
23 for their families to drive them.

24 So we need ambulance transport. And  
25 so, how does that look different than it does in  
26 urban settings?

1           And we involve members of those  
2 communities both intensively as part of our  
3 research processes.

4           We do a lot of qualitative work, a lot  
5 of engagement work. And as members of our  
6 research team. So they're checking everything  
7 that we're doing along the way.

8           CO-CHAIR HARDIN: Well, thank you.

9           Walter, please go ahead.

10          DR. LIN: I wanted to thank our  
11 panelists for just some really thought provoking,  
12 and interesting perspectives.

13          My question actually stems from the  
14 kind of evidence that our panelists have  
15 presented, showing the effectiveness of  
16 palliative care in the seriously ill, and  
17 patients with chronic complex condition  
18 population to both improve quality, and decrease  
19 cost.

20          You know, I think the evidence is very  
21 compelling, and it leads me to observe that I  
22 think in this population of patients in  
23 particular, there is a great underutilization of  
24 palliative care services.

25          And I have to think that's probably  
26 because of the way palliative care is paid for.

1 I mean, I think all of us here on PTAC probably  
2 believe the old saying that care follows finance.

3 And that's why we all serve here, is  
4 because we think we can influence care through  
5 payment policy.

6 And so, my question to the experts on  
7 the panel is, what recommendations would you make  
8 to the Secretary of Health and Human Services,  
9 around payment policy in this population of  
10 patients, to better utilize palliative care?

11 DR. BYOCK: Can I respond and jump in?

12 Your question is pertinent, and  
13 changes in payment policy are necessary. I would  
14 simply emphasize however, that we also need  
15 improvements in accounting practices.

16 Because palliative care is often seen  
17 through the lens of a P&L sheet, a profit and  
18 loss sheet.

19 And on a profit and loss sheet, it  
20 always loses money. Right, it doesn't charge  
21 enough for, to cover the personnel cost,  
22 particularly.

23 But when you actually bring accounting  
24 into the 21st century and look at palliative  
25 care, concurrent palliative care's impact on  
26 total costs of care, it reliably reduces total

1 costs of care.

2 In every study I've been part of and  
3 program development I've been part of, every  
4 paper I've read, it reduces total costs of care,  
5 mostly by reducing the area under the curve of  
6 days in the hospital.

7 So if you can meet people's needs  
8 without requiring them to come into an acute care  
9 facility, you will reduce total costs of care.

10 But even in sophisticated, integrated  
11 health systems, the CFO<sup>13</sup> and the C-suite often  
12 goes to the palliative care team and says, you're  
13 just not covering your own costs.

14 That's really bringing 20th century P  
15 and L sheet accounting to a 21st century reality.  
16 It's inaccurate.

17 DR. LIN: Yes, actually, Ira, thank  
18 you for that. I meant to say, I think palliative  
19 care like you were just saying is very, it's very  
20 easy to show a return on palliative care services  
21 under a total cost of care environment.

22 But right now, it's largely  
23 compensated under a fee-for-service environment.  
24 And so, what can we do now to change that?

25 To change the underutilization of

---

13 Chief financial officer

1 palliative care under fee-for-service, knowing  
2 that under total cost of care, it's very easy to  
3 show a return?

4 DR. BYOCK: Can I just follow up and  
5 say, you have to link it to a larger cost center,  
6 right?

7 And what I over my years of work in  
8 this realm, including I spent a decade with the  
9 Robert Wood Johnson Foundation, building  
10 concurrent care models.

11 We looked at which entity bears  
12 financial risk for this patient's total cost of  
13 care, even if it's in a defined period of time.

14 And then link those two so that the  
15 risk-bearing cost center sees the benefit of the  
16 losses, if you will, or the lack of meeting its  
17 expenses that the palliative care cost center  
18 will realize.

19 CO-CHAIR HARDIN: Other presenters?  
20 Dr. Merkelz?

21 DR. MERKELZ: Increasing  
22 reimbursement that clinicians receive.  
23 Increasing reimbursement for home visit.  
24 Increasing reimbursement for home-based level of  
25 care.

26 Giving clinicians meaningful models

1 of meaningful outcomes that can be supported in  
2 the home environment, that makes patients  
3 successful.

4 Providing reimbursement for this type  
5 of activity results in tremendous returns on  
6 total cost of care.

7 And it gives the clinician and the  
8 patient meaningful outcomes. When we gave  
9 substantive outcome model training to our  
10 clinicians, and they go into the home practicing  
11 with these outcomes, their mind set has a shift.

12 They said this is why I became a  
13 nurse. This is why I became a therapist. This  
14 is why I do what I do.

15 When we move away from outdated  
16 performance metrics and misaligned incentives, it  
17 leaves clinicians doing minimal documentation and  
18 moving on to their next patient.

19 When we give them meaningful outcomes  
20 to work from, they really feel empowered in the  
21 care that they are providing.

22 And it engages a workforce to enter  
23 this workforce. To enter into the home-based  
24 care sector. Enter into the post-acute care  
25 environment, where we can really make differences  
26 in patients' lives.

1 DR. ERNECOFF: From a hospice  
2 perspective specifically, I think it's also  
3 important to consider the cost of treatment to  
4 meet the needs of people receiving hospice now.

5 Forty years after the hospice benefit  
6 started, people are living much sicker for much  
7 longer. And their needs are much more acute.

8 And reimbursement hasn't changed  
9 much. And the population of people who are  
10 getting hospice is not only sicker, but much more  
11 diverse.

12 It covers many more diagnoses where  
13 perhaps marginally more expensive therapies can  
14 help meet their needs in a way that's reasonable,  
15 and time limited, and comfort-oriented.

16 And so, providing, the CMMI model  
17 tests are currently getting at some of these  
18 things. We've looked at these things in MCCM,  
19 VBID. Some of the disease-specific models, and  
20 kidney disease, and cancer.

21 But the population's really changing,  
22 and the hospice payment model hasn't.

23 CO-CHAIR HARDIN: Did you want to add  
24 anything, Betty?

25 DR. FERRELL: I think I would just say  
26 I agree with all of these comments. They're so

1 important.

2 And I would just say that going back  
3 to concurrent care, I think still remains a key  
4 issue.

5 So, my research and my primary  
6 population is the oncology population. And for  
7 the last several years, I've actually focused a  
8 lot of my studies on patients on clinical trials.

9 And so, it's a perfect example of we  
10 now have third and fourth-line cancer drugs, and  
11 that are exceedingly expensive.

12 And we have all of these clinical  
13 trials and yet there's so many disincentives of  
14 patients.

15 Because people with cancer, they, the  
16 mindset is what's next, what's next? There's no  
17 end point.

18 And so, what we have, and we have very  
19 strong data. I led a study funded by NCI, almost  
20 500 cancer patients, demonstrating that these are  
21 patients on clinical trials that are getting only  
22 disease-focused therapy, and they are not getting  
23 a well-designed system of patient-focused care.

24 And so, 70 percent were coming into  
25 urgent care and ERs with symptoms out of control.  
26 They didn't have advance directives. They were



1 dying in the hospital.

2 And, with poor patient outcomes, as  
3 well as spending a tremendous amount of money.  
4 And so we really, we need all the things that  
5 you've just mentioned in terms of better  
6 reimbursement, better models of care.

7 But we have to move beyond this  
8 dichotomy of either you're getting care for your  
9 disease, or you're getting palliative care to  
10 provide, to reduce your suffering, and address  
11 your quality of life.

12 CO-CHAIR HARDIN: Jen, please go  
13 ahead.

14 DR. WILER: I, too, want to give  
15 gratitude to the panel for a really thought-  
16 provoking conversation.

17 I'm going to go a level deeper with my  
18 question, riffing off of Walter's question. And  
19 when thinking about payment to incent excellent  
20 evidence-based care, which you all have been  
21 describing well, we think about two levers.

22 One around maybe direct payment, and  
23 then another around incentives or penalties. And  
24 that's where my question is going to come from.

25 Many Americans die in the hospital  
26 setting. One of the quality measures to evaluate

1 in-patient care, is the mortality metric.

2 A numerator over denominator where I  
3 think you know, when the hospice benefit is  
4 evoked, that takes that person out of an in-  
5 patient settings denominator.

6 That's created some interesting  
7 national conversation, including in the lay  
8 press, around leveraging that benefit.

9 We focused on this mortality metric,  
10 maybe creating a perverse incentive. And that  
11 metric is one that cascades into many, many  
12 Medicare programs, and value-based purchasing.

13 It's used in Leapfrog to evaluate  
14 programs, hospitals, and health systems. And  
15 it's used then secondarily in the U.S. News and  
16 World Report.

17 So, my first question for you all is,  
18 thinking about quality metrics, and incentives  
19 and disincentives, what your thought is around  
20 the mortality metric because it's so heavily  
21 weighted in a number of programs.

22 And is it one that incents us to have  
23 these conversations, again and maybe a perverse  
24 way when it is evidence-based? That's one  
25 question.

26 And then, my other question is going

1 to be around is there some again perverse  
2 incentive to not have these conversations in the  
3 in-patient setting, when we have such challenges  
4 in the post-acute and home space in getting care?

5 And it pays more to have a person in  
6 the inpatient space getting care delivered, than  
7 transitioning them, and not having a place to  
8 deliver home-based hospice care.

9 So again, getting deeper into payment,  
10 any thoughts on the current structure? And  
11 again, back to Walter's question, what are some  
12 other opportunities if we're not in a total cost  
13 of care space, that payment policy might be able  
14 to improve our performance and payment for both  
15 palliative care and hospice care?

16 DR. BYOCK: I'll jump in. I don't  
17 have that much to say on this. I don't, I've  
18 never found the mortality scores to be  
19 particularly helpful.

20 I do think for certain procedurally  
21 oriented disciplines, cardiac surgery, for  
22 instance, the O to E, the observed to expected  
23 outcomes, are more valuable from my perspective.

24 I do think we continue to avoid the,  
25 kind of the obvious of disincentivizing, or if  
26 you will, penalizing clinicians or systems that

1 do not do what every, there's a strong consensus  
2 need to be done, like having a goals of care  
3 conversation or an advanced directive on file.

4 We let this go forward and as if,  
5 well, that's just the way things are and we're  
6 unable to impact it.

7 Dr. Ferrell just mentioned funded  
8 studies of cancer care, in which a large number  
9 of those patients are receiving what I would  
10 humbly conclude are substandard health care  
11 because they haven't had those conversations.

12 They don't have a crisis plan. They  
13 don't have an advanced directive on chart.

14 We tolerate this and it's, and it  
15 perpetuates itself, because we keep funding new  
16 models that are allowed to go forward with key  
17 components of quality and patient care lacking.

18 DR. MERKELZ: It's certainly a very  
19 complex area. And my focus, and my expertise is  
20 really in bedside care, and looking at patient  
21 needs and patient outcomes.

22 It is a misaligned system. It  
23 certainly impacts the ability of us to provide  
24 care.

25 I think frequently on how the over  
26 regulatory, and misaligned regulatory

1 environment that exists currently within the  
2 hospice space.

3 When we know that the Medicare hospice  
4 benefit saves the health care system billions of  
5 dollars.

6 We know even on the very long length  
7 of stay patients, they actually have 11 percent  
8 reduction in cost compared to other  
9 beneficiaries.

10 So we know even the long length of  
11 stay hospice patient, is a cost-saving service to  
12 our patients.

13 Yet the hospices are penalized and  
14 reviewed for long length of stay patients.

15 Well, from the clinician standpoint,  
16 we've been caring for these patients in their  
17 communities.

18 They've separated from their  
19 specialists and their health care system. And  
20 we've been providing total care for the  
21 individual patient.

22 They're now, they've advanced in age.  
23 They've advanced in disability. And because of  
24 supportive care that we know is saving the health  
25 care system money, is causing the patient to have  
26 potentially a prolonged life, we have to now

1 discharge them.

2 And integrate them back into the  
3 system. And that type of fragmented care really  
4 doesn't align with the services that are being  
5 provided.

6 It's a complex issue, and I think an  
7 overzealous regulatory environment that's not  
8 aligned with what we know is the outcomes from  
9 the Medicare hospice benefit, really needs to be  
10 squared.

11 DR. ERNECOFF: From a patient-centered  
12 perspective, there's more and more work that  
13 dying in a hospital is not inherently a sign of  
14 poor quality end of life for people, nor  
15 discordant with their goals.

16 Especially if they're part of this  
17 group of people who are very acutely seriously  
18 ill, near the end of life.

19 And I worry about symptom management  
20 particularly for people who are discharging home  
21 with hospice, and uncontrolled symptoms.  
22 Especially given the difficulty of accessing  
23 inpatient hospices, where those symptoms can be  
24 managed.

25 And so, as we think about incentives  
26 in this space, I think that that, too, how we

1 think about getting people into hospice at all  
2 costs, maybe it isn't the best approach either.

3 But thinking about how we can provide  
4 comfort-focused care in hospice settings, cost  
5 not withstanding, is also meaningful and takes  
6 some, really some caregiver burden, as well.

7 CO-CHAIR HARDIN: Okay, Betty, did you  
8 want to add?

9 DR. FERRELL: Yes, I would just echo  
10 kind of building on Natalie's comment just now.  
11 When we really talk about cost of care, and who's  
12 able to stay at home, it has a lot to do with  
13 family caregivers.

14 And so, in my, I do a lot of work with  
15 family caregiving over all the years. And we  
16 kind of jokingly say, sadly say, oh, who's the  
17 primary caregiver of the patient? Oh, yes, it's  
18 somebody who's older and sicker than the patient,  
19 right?

20 Is who is taking care of the end-stage  
21 heart failure, cancer, pulmonary disease? It's  
22 the family caregiver who looks slightly worse  
23 than the patient.

24 And so, the lack of, and there are so  
25 many social factors. How many people's children  
26 live three states away?

1           And so, and care is very complex. And  
2           so, we just have not built very patient-centered  
3           models that recognize.

4           And I agree completely, the lack of  
5           inpatient options for people at the end of life.  
6           And there are people who die poorly at home  
7           because their one very overwhelmed caregiver has  
8           been doing this for 24 hours a day.

9           And so, there is a, I mean, I live in  
10          Los Angeles. It is so difficult when you have a  
11          very difficult social situation, to find an  
12          inpatient option for someone to make sure their  
13          last days of life are good.

14          And so, you can't understate the  
15          family caregiving issues and social issues that  
16          are really a part of the quality question here.

17          CO-CHAIR HARDIN: Thank you.

18          Larry, please go ahead.

19          DR. KOSINSKI: Well, one of the things  
20          that I love about being on this Committee is I  
21          get educated. And this session was education for  
22          me.

23          And something stuck with me earlier in  
24          the comment where 20th century P and L finances  
25          are being used on 21st century issues.

26          And I got thinking, are we trying to



1 retrofit a 20th century care model into a 21st  
2 century problem, as well?

3 And so, my question is since you're  
4 so, I heard over and over again, that symptom  
5 control is critical. And that that is the major  
6 focus.

7 Have any of you worked with any tech-  
8 enabled patient engagement symptom management  
9 entities, in a way of decreasing your own P and  
10 L?

11 DR. BYOCK: I don't think it's symptom  
12 management is the key focus. I do think that  
13 symptom management is good medical care. Basic,  
14 good medical care.

15 I think the key focus is goal  
16 alignment. Is decisional support, as the IOM  
17 said in the crossing the quality chasm.

18 And there are some tech-enabled  
19 solutions coming forward that help with goal  
20 alignment, and making sure that patients are  
21 routinely assessed about their symptoms, their  
22 sources of distress, their major concerns.

23 And that the health care team can then  
24 address those key priorities for patients in the  
25 context of their households, and families.

26 Symptom management is absolutely

1 requisite. But I think the transformative focus  
2 is on goal alignment.

3 DR. ERNECOFF: We are definitely  
4 seeing creative models of remote symptom  
5 monitoring, to your question directly.

6 Whether it's app-based bring your  
7 device, for example. I know programs like that  
8 that are led by nurse practitioners' remote  
9 monitoring and can help with triage.

10 And various patient education for  
11 guidance if you have this level of symptom, do  
12 this, call your home health nurse. If you have  
13 this level of symptom, go to the emergency  
14 department.

15 So, we are seeing some of that in  
16 practice.

17 DR. MERKELZ: And we are leveraging  
18 predictive analytics to try to gauge, when is the  
19 right time to interface and increase our  
20 interaction with the patient?

21 So as we're seeing patients that are  
22 on a trajectory of a higher risk of mortality, we  
23 can engage and increase the frequency of our  
24 visits and appropriately utilize resources.

25 DR. ERNECOFF: I think that's where AI  
26 and electronic health records might get very

1 interesting.

2 As prognostic prediction or  
3 systematically identifying people who have repeat  
4 acute encounters, and is it time to start maybe  
5 being more purposeful about those roles of care  
6 conversations. Or change in care plans.

7 DR. BYOCK: I agree.

8 CO-CHAIR HARDIN: Betty, did you want  
9 to add a comment?

10 DR. FERRELL: No, I just agree with  
11 all of these thoughts.

12 CO-CHAIR HARDIN: Wonderful. We  
13 appreciate all of you joining us today. Each  
14 presentation was essential in helping us to  
15 understand more about this issue, and really look  
16 at next space development in this area.

17 We want to thank you so much for  
18 taking the time to be with us today, and you are  
19 welcome to join for the rest of the day where we  
20 have multiple additional presentations.

21 But at this time we have a short break  
22 until 11:00 a.m. Eastern. So please join us then.  
23 We have a very special presentation with a CMS  
24 panel discussion planned.

25 So, we'll see you back at 11:00 a.m.

26 (Whereupon, the above-entitled matter

1 went off the record at 10:41 a.m. and resumed at  
2 11:00 a.m.)

3 \* **CMS Panel Discussion**

4 CO-CHAIR SINOPOLI: Welcome back. At  
5 this time I'm excited to welcome staff from CMS  
6 Innovation Center, or CMMI, who will share  
7 updates on the CMMI serious illness portfolio.

8 First, we'd like to welcome Dr.  
9 Susannah Bernheim, Chief Quality Officer and  
10 Acting Chief Medical Officer, and Dr. Jacob  
11 Quinton, Medical Officer of the Patient Care  
12 Models Group, to share introductions for this  
13 panel discussion.

14 Welcome, Susan and Jake.

15 DR. BERNHEIM: Hello. I was going to  
16 say -- I guess it's still good morning. Good  
17 morning. It's really nice to sit up here with  
18 all of you as I got to do last time. It has been  
19 an amazing first day and a little of  
20 conversations and really a pleasure to be here  
21 with all of you.

22 We have an amazing panel for you so  
23 I'm going to be very brief, Jake and I, in our  
24 introductions, but we're really happy and  
25 grateful that the Committee let us put together  
26 this discussion on some of what's been tested or

1 is being tested in the CMS portfolio around  
2 payment models and demonstrations focused on  
3 seriously ill beneficiaries and those with  
4 complex chronic diseases.

5 This is going to give you a little bit  
6 of information on many different pieces, so I  
7 hope we'll have time to get through all of it.  
8 We're going to start by talking about three  
9 models that have existed and what we learned  
10 through the evaluation of those models, and then  
11 we're going to focus on three newer models that  
12 are either -- have been implemented or will be  
13 implemented shortly where we've taken some of the  
14 lessons learned and are thinking again about how  
15 best to design models to care for complex  
16 chronically ill patients.

17 One thing I want to say that will --  
18 that sits in the background of all of this is  
19 that as all of you know, the Innovation Center  
20 was given the authority to test new payment and  
21 service delivery models, and if they are found to  
22 reduce spending and maintain quality or improve  
23 quality and maintain spending, they can be  
24 expanded. But there's actually also a lot of  
25 different ways that our models generate learning  
26 and get scaled even when they are not formally

1 expanded. And you'll pick up on some of that  
2 here today. So I just wanted to note that.

3 In some cases we learn something and  
4 rather than scaling the model, we bring it into  
5 a new model. So the -- I'm going to get the name  
6 wrong, so I'm going to look at my notes for a  
7 second -- the ACO<sup>14</sup> Investment Model is a model  
8 that we tested and then was brought into the  
9 Medicare Shared Savings Program, renamed the  
10 Advanced Investment Payment Program. In other  
11 cases, we bring lessons learned from our models  
12 into successor models. So you'll see some  
13 examples here of that today.

14 And I think I'm actually going to keep  
15 it at that, let Jake go through a couple of these  
16 slides, but really our goal is just to give you  
17 some of the grounding in the work we've done in  
18 this area and the lessons that we have learned  
19 and continue to learn to try to build strong  
20 payment and service delivery models for this  
21 population.

22 DR. QUINTON: Thank you so much,  
23 Susannah.

24 And just two more quick slides to get  
25 us started. Sticking with the goals of the panel

1 discussion slide, our panels been given the  
2 nearly impossible task of describing multiple  
3 years of work in between three and five minutes,  
4 so I'm just -- we're just going to give a quick  
5 snapshot today, and I won't delay getting to the  
6 experts any more than we have to.

7 This series of models we're hoping is  
8 going to give you a both evaluation portfolio  
9 view and implementation portfolio view and a  
10 chronology of how the serious illness portfolio  
11 at CMMI has progressed over the last decade.

12 So those are the goals of the  
13 discussion as described.

14 So, next slide, please. Our order of  
15 operations. We're going to start with the models  
16 that have been implemented and have evaluation  
17 findings: the Medicare Care Choices Model, IAH<sup>15</sup>,  
18 and VBID Hospice. We're also going to be moving  
19 to the models that are announced or in operation.  
20 And starting with ACO REACH<sup>16</sup> High-Needs. We have  
21 one virtual presenter for that. And then Kidney  
22 Care Choices, and concluding with a model about  
23 to begin in GUIDE<sup>17</sup>.

24 So really excited for this

---

15 Independence at Home

16 Realizing Equity, Access, and Community Health

17 Guiding an Improved Dementia Experience

1 presentation. We do know that this is a quick  
2 snapshot of a breadth of our portfolio, and we're  
3 looking forward to in-depth discussions in  
4 future.

5 Now one more slide as we get started.  
6 We wanted to frame this discussion around the  
7 timeline of our models that are being discussed.  
8 On the Y axis, we have the type of model, whether  
9 it be a congressionally-mandated demonstration or  
10 a CMMI Model; and whether it's been completed;  
11 it's in implementation or it's been recently  
12 announced. And of course the X axis is time.

13 Independence at Home as you know has  
14 been in existence since 2012 all the way through  
15 2023. The darker gray is for the completed  
16 Medicare Care Choices Model, and for those models  
17 in implementation VBID Hospice, we're presenting  
18 evaluation findings to date. But then for those  
19 models in operation in orange: ACO REACH, Kidney  
20 Care Choices, and GUIDE, about to begin.

21 So just wanted to set the stage a  
22 little bit further and give you a timeline for  
23 our portfolio. We are thinking this is just about  
24 chronological and wanting to help you see the  
25 evolution of our CMMI Models to date.

26 And, Angelo, I'm happy to hand it over



1 to our first presenter, if that's okay.

2 So I will hand the mic first to, we  
3 are very close to each other. I will turn off my  
4 mic in just a -- to Suzanne Wensky. And please  
5 as you start your remarks, give a brief  
6 introduction so people know where you come from.

7 DR. WENSKY: Sure. Thank you.  
8 Suzanne Wensky. I am in the Research and Rapid  
9 Cycle Evaluation Group, one of the division  
10 directors, at CMMI. I'm going to be sharing with  
11 you our evaluation findings from the Medicare  
12 Care Choices Model.

13 This was a six-year model designed to  
14 test a new option for Medicare beneficiaries to  
15 receive treatment for terminal conditions along  
16 with supportive for -- from participating  
17 hospices. And this is in contrast to the Medicare  
18 hospice benefit in which patients must forego  
19 curative treatment for their terminal condition.  
20 To be eligible for MCCM, beneficiaries had to  
21 have cancer, COPD, congestive heart failure, or  
22 HIV/AIDS; have a life expectancy of six months or  
23 less; and also not be enrolled in the Medicare  
24 hospice benefit. And at six years, over 7,000  
25 beneficiaries enrolled in the model.

26 Enrolled beneficiaries received

1 assessments and care plan development, care  
2 coordination, pain and symptom management, 24/7  
3 access to a care team, and counseling.

4 Next slide, please. From the  
5 evaluation, we found that MCCM enrollees spent  
6 more days at home and were 15 percentage points  
7 less likely to receive aggressive life-prolonging  
8 treatment in the last 30 days of life. MCCM also  
9 reduced Medicare expenditures by 30 percent  
10 mainly by decreasing hospitalizations and  
11 increasing hospice use earlier in the disease  
12 trajectory. Enrollees and caregivers had high  
13 levels of satisfaction with the care they  
14 received under the model; however, despite these  
15 favorable outcomes, CMS was unable to expand the  
16 model due to low model uptake and low market  
17 penetration which limited the generalizability of  
18 these results.

19 Next slide, please. So specifically  
20 only five participating hospices enrolled 46  
21 percent of all MCCM beneficiaries, and 3 percent  
22 of all hospices nationwide participated in MCCM.  
23 Many Medicare beneficiaries were not eligible for  
24 enrollment because they were enrolled in Medicare  
25 Advantage, and many of the participating hospices  
26 were in markets with high Medicare Advantage

1 penetration.

2 Other factors that contributed to low  
3 model participation or withdrawal were that MCCM  
4 was a voluntary model. MCCM payments did not  
5 sufficiently cover operating expenses according  
6 to participants, and many MCCM hospices were  
7 affiliated with a palliative care program.

8 Next slide, please. Of the hospices  
9 that were successful in enrolling beneficiaries  
10 providing high-quality care and reducing Medicare  
11 expenditures, they tended to implement a no-  
12 wrong-door referral policy, gained enrollees'  
13 trust, engaged enrollees and caregivers in  
14 ongoing education, and gave enrollees someone to  
15 call after hours.

16 Last slide, please. So although CMS  
17 did not expand MCCM, the model is a promising  
18 approach to transforming care delivery at the end  
19 of life, and palliative care and concurrent  
20 hospice care continue to be tested in other  
21 Innovation Center Models, such as ACO Reach and  
22 Kidney Care Choices Model.

23 With that I will turn it over to David  
24 Nyweide to talk about the evaluation results for  
25 the Independence at Home demonstration.

26 DR. NYWEIDE: Okay. Thank you,

1 Suzanne.

2 I'm also in the Research and Rapid  
3 Cycle Evaluation Group at CMMI. And Independence  
4 at Home. I want to stress from the outset that  
5 Independence at Home was a congressionally-  
6 authorized demonstration project, which means  
7 that CMS didn't have any control over its  
8 timeline or some of the key design and evaluation  
9 parameters of the demonstration. However, the  
10 Innovation Center was charged with implementing  
11 and evaluating Independence at Home, and there  
12 are some lessons to be learned.

13 The key goals of IAH were to reduce  
14 total spending and improve quality of care for  
15 high-need/high-cost patients who were seen by  
16 practices that specialized in home-based primary  
17 care, and in return those practices could earn  
18 incentive payments that worked a lot like shared  
19 savings in ACOs.

20 Now as you can see on this slide with  
21 the patient eligibility criteria, these were  
22 really sick patients. And the criterion I want  
23 to particularly draw your attention to is the  
24 last one, the hospital stay and the post-acute  
25 care.

26 Next slide, please. What we found was

1 that using event-defined eligibility was  
2 problematic for the demonstration and for the  
3 evaluation. And the reason is that if you start  
4 with a high-cost event like a hospital stay, what  
5 we know is that for most patients, they have a  
6 downward trajectory of spending after that event.  
7 And you can see that in the blue line in the  
8 graph.

9 At the same time, the way that the  
10 incentive payments were set up with the target  
11 expenditures, they had an upward trajectory from  
12 a national cost trend from the prior year. And  
13 so that divergence in those lines became an issue  
14 when the evaluation looked at the IAH patient  
15 spending and matched it up with a comparison  
16 group that was a group of patients who looked  
17 very similar but didn't receive home-based  
18 primary care, and found that in 2019, as an  
19 example, there was no difference in spending  
20 between those two groups, and yet CMS paid the  
21 practices \$11 million in incentive payments  
22 despite not showing any reductions in  
23 programmatic spending.

24 Now we all know that setting target  
25 expenditures is difficult in any scenario.  
26 That's nothing new. And there were in fact three

1 different methods used in IAH throughout the  
2 demo, but the problem with setting accurate  
3 target expenditures was compounded in IAH because  
4 there was a relatively small number of patients  
5 in each of these practices. And when you have  
6 small numbers of expensive patients, their  
7 spending tends to be more volatile. And so it  
8 becomes that much more difficult to hit your  
9 target expenditures accurately.

10 Okay. Next slide? So that issue of  
11 small numbers also is a problem for the  
12 evaluation. Throughout the demonstration, a  
13 medium practice had 400 to 500 patients in IAH.  
14 For the demonstration overall, that number hit a  
15 high water mark in year five with about 10,000  
16 patients. And then it declined thereafter mainly  
17 because the practices switched to Innovation  
18 Center Models.

19 Now that attrition in practices in  
20 those patients was an even bigger problem for the  
21 evaluation because even from year one, the  
22 evaluation couldn't measure total spending at a  
23 practice level because the spending at that level  
24 was too unstable and unreliable. So it had to  
25 pool all the patients across the practices and  
26 measure performance in the demonstration at the

1 demonstration level. And so it really could  
2 not -- like I said, the attrition in the patients  
3 just really compounded the problems.

4 If you go to the next slide, what we  
5 ended up finding as a result over the first eight  
6 years of the demonstration -- there's two more to  
7 be evaluated -- there were only two of those eight  
8 years that had statistically significant  
9 findings. Now it could be the case that those  
10 other six years also had reductions in spending  
11 if we had greater power in the demonstration. We  
12 just don't know for sure. It could be that the  
13 practices increased spending overall or it could  
14 be that there was no difference relative to the  
15 comparison group.

16 The main thing to keep in mind here:  
17 If you take a step back and you consider that the  
18 types of patients that were targets of the  
19 demonstration comprised about 4 percent of the  
20 Medicare population at large -- they're a very  
21 small segment -- and the practices in this  
22 demonstration, they targeted specifically these  
23 types of patients, and they had a difficult time  
24 reaching critical mass. If you want to design a  
25 model that looks a lot like IAH, you're going to  
26 have a hard time doing it if you use your

1       accountable unit the size of a practice. You  
2       have to think larger.

3               Next slide? Lastly a word on quality.  
4       The practices in IAH were responsible for six  
5       quality measures. They could qualify for  
6       incentive payments if they met performance  
7       thresholds for any three of those six quality  
8       measures, and of course they also had to spend  
9       beneath their spending target. And as you can  
10      see here, most of them were successful with  
11      meeting the performance thresholds for the three  
12      claims-based measures.

13              They weren't as successful with the  
14      site-reported measures, and they may have been  
15      delivering the care associated with those  
16      measures, but we don't know because they didn't  
17      report it. But the point here is that they were  
18      able to meet their incentive payments by  
19      reporting -- or by meeting the performance  
20      threshold with the claims-based measures alone.

21              All right. To wrap up, next slide?  
22      Some final key points on design from IAH. First,  
23      avoid event-based patient eligibility. Two,  
24      you've got to consider the size of the  
25      participating entities in the accountable unit.  
26      Larger is better. And finally, if you're going



1 to use participant-reported quality measures,  
2 make sure that you tie that to the incentive  
3 structure of the model.

4 And with that, I will turn it to my  
5 colleague Julia.

6 Go ahead.

7 DR. DRIESSEN: Great. Thank you.

8 I'm Julia Driessen, and I am the  
9 evaluation lead for the Center's Medicare  
10 Advantage Value-Based Insurance Design Model.

11 Next slide, please. So this model  
12 encompasses a number of components and has been  
13 in operation at the Center since 2017, but I'm  
14 specifically going to talk about the VBID Hospice  
15 component, which I know you heard about earlier  
16 and a little bit yesterday. It began in 2021 and  
17 was recently announced that it would be  
18 concluding at the end of this calendar year.

19 And essentially the thrust of this  
20 model is that it allows Medicare Advantage  
21 Organizations, or MAOs, to offer the Medicare  
22 hospice benefit as part of their benefit  
23 packages. And that is in contrast with what  
24 happens outside the model where regardless of  
25 whether a beneficiary is in a Medicare Advantage  
26 Plan or is in fee-for-service, when they elect

1 hospice, that becomes the purview of traditional  
2 Medicare.

3           So for participating plans in the  
4 model in addition to the Medicare hospice  
5 benefit, they were also required to offer related  
6 services, including palliative care and  
7 transitional concurrent care. And they had a  
8 fair amount of flexibility, the plans did, in  
9 terms of how they stood up those offerings in the  
10 model. They also had the option to offer  
11 supplemental benefits related to hospice.

12           Another thing to note is that in terms  
13 of characteristics related to putting this into  
14 the Medicare Advantage setting, there was a  
15 phase-in of the network adequacy requirements as  
16 it pertained to hospice, but beneficiaries  
17 maintained their choice of hospice consistent  
18 with how it would have operated outside of the  
19 model.

20           In terms of participation, in 2021,  
21 the first year, we had nine MAOs enter 49 plans  
22 into VBID Hospice, and currently in 2024, we have  
23 13 MAOs and 78 plans participating. The last two  
24 evaluation reports released for VBID in 2022 and  
25 2023 included a separate evaluation of VBID  
26 Hospice, so looking at those first two years of

1 2021 and 2022.

2           Next slide, please. Okay. Now as I  
3 mentioned, there was a fair amount of flexibility  
4 in the model design in terms of how the model was  
5 operationalized. And so somewhat unsurprisingly  
6 we saw significant variation in how the MAOs sort  
7 of stood up the various components of the model.  
8 So that would include things like how they formed  
9 hospice networks, the criteria that they used for  
10 establishing their concurrent care programs, and  
11 also how they designed their palliative care  
12 models.

13           There's also evidence in the  
14 evaluation of sort of -- again, this is early,  
15 but of a bit of a learning curve where we saw  
16 newer plans in the model report more substantial  
17 challenges. And plans that had some experience  
18 in the model in that second year sort of reported  
19 that those challenges had attenuated or subsided.

20           Nonetheless, sort of things that came  
21 out as elements that both hospices providing care  
22 under the model and plans participating in the  
23 model continued to devote significant effort to  
24 included education of providers and patients  
25 about these new services to make sure they were  
26 delivered to the right people at the right time.

1 And then on the hospice side, there was sort of  
2 continual mention in the evaluation of the effort  
3 associated administratively in working with  
4 plans. And for in-network hospices, there were  
5 concerns about reimbursements.

6 Next slide, please. Moving onto an  
7 overview of the utilization and quality findings  
8 so far, in general, utilization of the component  
9 services of VBID Hospice was lower than MAOs  
10 expected.

11 Now to the right you can see a table  
12 with some basic statistics on the first two years  
13 of utilization under the model. In terms of  
14 impact, the evaluation did not identify an impact  
15 of the model on hospice enrollment or hospice  
16 utilization patterns as of 2022, but they did  
17 identify an association between VBID Hospice  
18 participation by a plan and a small increase in  
19 hospice care experience as captured by Hospice  
20 CAHPS<sup>18</sup>.

21 In addition to the quantitative  
22 analyses in the evaluation, the evaluation also  
23 included interviews with plans participating in  
24 the model, hospices providing care under the  
25 model, and beneficiaries who received care under

---

18 Consumer Assessment of Healthcare Providers and Systems

1 the model. And so one thing to note sort of in  
2 this setting for this meeting is that many  
3 recipients of palliative care under the model  
4 were actually not familiar with the term or the  
5 services that it comprised, but for those who  
6 were aware, they reported positive experiences  
7 with this component.

8 Next step please? Or next slide. In  
9 terms of thinking about the design takeaways from  
10 VBID Hospice, I think it's relevant to kind of  
11 step back and think about sort of the full scope  
12 of what the model entailed. So it represented  
13 the first time that an entity other than  
14 traditional Medicare was offering the Medicare  
15 hospice benefit to Medicare enrollees.

16 So it involves substantially new  
17 collaborations or collaborations in new ways  
18 between plans and hospices, and it involves  
19 developing and rolling out new services like  
20 transitional concurrent care. So those both  
21 create opportunities for patients at the end of  
22 life, but also have their own attendant sort of  
23 learning curves and time taken that sort of  
24 troubleshoot and get to sort of a good place.

25 Another thing highlighted by this  
26 model is sort of the inherent tension and design

1 between wanting to be sort of prescriptive about  
2 the kind of essence or priorities of a model while  
3 also offering enough flexibility that you're  
4 harnessing the fact that your participants often  
5 are closer to the ground and may actually have  
6 sort of closer knowledge around their specific  
7 enrollees' needs and preferences.

8 And then finally sort of going back to  
9 a number of the points that I made on the last  
10 slide, the notion here is that this was both a  
11 payment model and also sort of much more than a  
12 payment model. So I think it's important to  
13 understand the significant sort of operational  
14 lifts behind the scenes to make it appear  
15 seamless and coordinated on the front of the  
16 house. And so it was also important throughout  
17 this model for there to be sufficient support for  
18 participants to actually let them get to a sort  
19 of a sustainable steady state.

20 So those are my comments, and I think  
21 I'm passing the mic to Meghan virtually.

22 MS. ELRINGTON-CLAYTON: Thank you,  
23 Julia.

24 Hi, everyone. My name is Meghan  
25 Elrington-Clayton. I am the director of the  
26 Division of Financial Risk here at the Innovation

1 Center.

2 I just wanted to share with you about  
3 the ACO REACH Model, which is one of our  
4 initiatives to help address the needs of  
5 seriously ill patients within Accountable Care  
6 Organizations, or ACOs.

7 The high-needs population ACOs type  
8 and ACO REACH Model are designed to facilitate  
9 high-quality, high-touch tailored care to  
10 Medicare beneficiaries with complex health care  
11 needs.

12 The high-needs ACO type allows  
13 participation by organizations focused on complex  
14 high-needs beneficiaries in order to test whether  
15 provider-led entities can replicate the  
16 successful clinical approaches of PACE<sup>19</sup> and  
17 similar models of care within a broader Medicare  
18 fee-for-service population.

19 These approaches focus on  
20 interdisciplinary teams that (audio  
21 interference) besides preventative care and meet  
22 regularly to update patient care plans in  
23 response to changes of functional and health  
24 status. In addition, these approaches aim to  
25 manage patients' care across all settings,

---

19 Program for All-Inclusive Care for the Elderly

1 facilitating smooth transitions between those  
2 settings and reducing rehospitalizations.

3 Next slide, please. Importantly the  
4 introduction of the high-needs ACO track is  
5 innovative because prior ACO models have largely  
6 been population-agnostic and focused more on  
7 experience and risk level. Certain pieces of  
8 historical or traditional ACO designs such as  
9 risk adjustment and population size have been  
10 less compatible with the dynamic and high-acuity  
11 profile of the sickest and costliest patients.

12 To make ACO models work for a high-  
13 needs population, we made four key design changes  
14 that I wanted to highlight. The first is to  
15 qualify for alignment, beneficiaries had to meet  
16 a minimum risk score threshold, have a post-acute  
17 utilization level or mobility/frailty status.

18 Second, due to their smaller panel  
19 sizes, the minimum number of beneficiaries  
20 required for high-needs ACOs is much smaller than  
21 other ACO types, increasing to only 1,250  
22 beneficiaries in 2026.

23 Third, during the first four years of  
24 the model, the benchmarks for high-needs ACOs are  
25 based on regional expenditures rather than a  
26 dynamic blend with historical expenditures, as is



1 the case for our standard ACOs. This enables  
2 greater benchmark stability as high-need ACOs  
3 gain experience under the model.

4 Fourth, we incorporated a new modified  
5 risk adjustment model, the CMS-HCC<sup>20</sup> concurrent  
6 risk adjustment model to better capture rapid  
7 changes in health status as patients become  
8 seriously ill. The model does this by using  
9 diagnoses from the current performance year, as  
10 opposed to the prior year under CMS-HCC  
11 prospective risk adjustment model using Medicare  
12 Advantage. It also weights acute conditions more  
13 heavily than chronic conditions and demographics.

14 Next slide, please. We have learned  
15 a lot about what high-needs ACOs are doing to  
16 provide high-quality care and how they are  
17 providing this care from talking with our high-  
18 needs ACOs, their providers, and their patients.

19 High-needs ACOs have prioritized  
20 investments in primary care capacity, practice  
21 level infrastructure, complex care management,  
22 and enhanced primary care, including behavioral  
23 health and extended hours. They have made  
24 greater use of primary care (audio interference)  
25 practice providers such as nurse practitioners

---

20 Hierarchical Condition Category

1 and physician's assistants. Also hospice use in  
2 some cases increased while other post-acute  
3 utilization was decreased consistent with their  
4 efforts to improve advanced illness management  
5 and timely palliative care. Home-based touch  
6 points have been central for several of the ACOs  
7 care model approach as well.

8 In addition, we found that high-needs  
9 ACOs are reaching more diverse populations than  
10 standard ACOs serving a greater percentage of  
11 dually eligible patients and patients that are  
12 from racial and ethnic minority groups.

13 You can learn more about the impact of  
14 high-needs ACOs in the second annual evaluation  
15 report for the ACO REACH Model, which we're  
16 expecting to release this summer. It will be the  
17 first report to provide evaluation results for  
18 high-needs ACOs due to their limited number and  
19 size.

20 Next slide, please. We've also  
21 learned a lot about the considerations in  
22 designing a model for ACOs serving this  
23 population. For one, it has been a challenge  
24 defining the high-needs eligibility criteria in  
25 a way that fully reflects these patients, as well  
26 as the panels served by providers who specialize

1 in caring for them.

2 High-needs ACOs have found it  
3 challenging to grow and to achieve scale given  
4 the size of their patient panels and mortality  
5 rate. We can see that they are delivering care  
6 that is tailored to these populations, so the  
7 question is whether there is a way to make sure  
8 these patients still have access to that care  
9 while ensuring that it's a viable model.

10 The concurrent risk adjustment model  
11 has been tested for three years and has been well  
12 received by stakeholders. We are evaluating  
13 whether and how the concurrent risk adjustment  
14 model could be expanded for additional  
15 subpopulations and models in general.

16 And then in terms of generating shared  
17 savings compared to their benchmarks, high-needs  
18 ACOs tend to perform better than other ACO types,  
19 which is somewhat expected given the risk  
20 adjustment and benchmarking policy already  
21 designed to maximize their payment stability.  
22 However, we continue to explore whether the  
23 current incentive policy is appropriately  
24 balanced with net savings potentials for the  
25 model.

26 With that, I'll now turn it over to my

1 colleague Laura Missett to speak to Kidney Care  
2 Choices.

3 MS. MISSETT: Awesome. Thanks,  
4 Meghan.

5 I'm Laura Missett. I am the model  
6 lead for the Kidney Care Choices [KCC] Model and  
7 also was the model lead for the predecessor  
8 model, the Comprehensive ESRD<sup>21</sup> Care [CEC] Model.  
9 Going to talk to you a little bit about KCC today.  
10 You know, this is a very sick population, and we  
11 wanted to test KCC to see if that coordinated  
12 care ACO-type structure could work for kidney-  
13 specific population.

14 In this model, we are focusing on  
15 patients with chronic kidney disease stages 4 and  
16 5, ESRD, and transplant. So we wanted to take  
17 the kidney care kind of from the whole spectrum.  
18 We aligned through the nephrologist in this model  
19 instead of the dialysis facility so we can catch  
20 those beneficiaries more upstream possibly before  
21 they get to ESRD or before they get a transplant.

22 We have two different risk options in  
23 this model. We have the CMS Kidney Care First  
24 [KCF] option, which is a nephrology-only option.  
25 So that is modeled after the Primary Care First

---

21 End-stage renal disease

1 Model where just nephrology practices can  
2 participate in that option. And that is a  
3 Medicare Home style model. They're paid by a  
4 performance-based adjustment based on different  
5 quality results.

6 And then there is the comprehensive  
7 Kidney Care Contracting option. That is our ACO-  
8 type structure, and we have different levels of  
9 risk for shared savings from the graduated level  
10 1 option where it's all upside risk, all the way  
11 up to 100 percent risk for shared savings and  
12 losses.

13 We have three different innovative  
14 payments that we introduced with this model. So  
15 the first is the chronic kidney disease quarterly  
16 capitated payment. We've never had a capitated  
17 payment for this population before. And it was  
18 set at approximately three times of what a  
19 nephrologist would make normally in fee-for-  
20 service. This upfront payment is to account for  
21 things like technology and investments in the  
22 model, care coordinators, and things of that  
23 nature.

24 We also have the home dialysis true-  
25 up payment. This allows for home dialysis to not  
26 be dis-incentivized. It brings home dialysis up

1 to the same level of payment as you would receive  
2 for in-center dialysis so it's no longer a  
3 disincentive for prescribing home dialysis.

4 And then finally we have the kidney  
5 transplant bonus. So this is a bonus on top of  
6 whatever you would normally be paid fee-for-  
7 service for a kidney transplant per beneficiary.  
8 It is paid in installments over three years to  
9 incentivize keeping that kidney transplant  
10 healthy for the duration of three years. And  
11 then you get that full \$15,000 bonus. So that is  
12 also new in this model.

13 We have approximately 130 model  
14 participants. About 30 of those are KCF  
15 practices and the remaining 100 are KCEs, which  
16 are kidney contracting entities, which is just  
17 ACOs for kidneys.

18 So we are at the halfway point in our  
19 model almost exactly. We will be ending December  
20 31st, 2026. What we have noticed so far is that  
21 for the first two years of our financial results,  
22 we do use a retrospective trend adjustment in  
23 this model, and the retrospective trend  
24 adjustment was a little larger than maybe  
25 anticipated by our entities. And we find that to  
26 be a little unsustainable for predictability in

1 the model and transparency in the model because  
2 you're working towards one benchmark all year,  
3 and then it's not until after the year is over  
4 that you find out that that benchmark -- the  
5 actual expenditures were much higher or lower.

6 So we did incorporate risk corridors  
7 for the retrospective trend adjustment. They  
8 start in PY 2024, so they start in this year, to  
9 help protect from some extreme retrospective  
10 trend adjustments.

11 We saw with this model versus our  
12 predecessor model that there are more  
13 organizations, more care coordination  
14 organizations participating in the model than we  
15 saw. So it's not just dialysis facilities  
16 anymore in this model. It's also bringing in new  
17 investments into the market. And we see a lot  
18 of -- a lot more participants entering. The CEC  
19 model for comparison had 37 ACOS, and we have  
20 about 100 ACOs in 10 different organizations. So  
21 there's wide participation, and people are  
22 excited about the model.

23 Some of the challenges that we've had  
24 in the kidney models is difficulty in  
25 benchmarking. We're using this retrospective  
26 trend adjustment in this model. We used a

1 reference population which also had its own  
2 issues in the predecessor model. And like some  
3 of my other colleagues were saying, it's a small  
4 numbers problem. So it's a small population,  
5 it's difficult to meet the beneficiary level  
6 that's required to evaluate the model. We work  
7 with OAC<sup>22</sup> to come up with these estimates of how  
8 many beneficiaries each entity needs to be able  
9 to evaluate the model correctly, and sometimes  
10 people don't meet that. So smaller entities  
11 can't participate as well.

12 We also run into carving out certain  
13 procedures and medications and devices in this  
14 model. Particularly we don't ding the entities  
15 for transplant costs. So we do take transplant  
16 costs out of the equation. We want to incentivize  
17 transplantation. And we're also looking at some  
18 of the TDAPA<sup>23</sup> and TPNIES<sup>24</sup> medications and  
19 devices that are in the market and if we should  
20 take them out of the benchmark or not or  
21 incentivize their use or not.

22 We do have issues with overlapping  
23 beneficiaries with other models, and we think  
24 that once you have a late-stage kidney disease

---

22 Office of the Actuary

23 Transitional Drug Add-on Payment Adjustment

24 Transitional Add-On Payment Adjustment for New and  
Innovative Equipment and Supplies



1 diagnosis, that you're better suited for the  
2 kidney model. And there was a little bit of  
3 research to support that with the CEC model  
4 evaluation results.

5 And another thing we have trouble with  
6 is accounting for quality of life and  
7 intangibles. For example, what's the price of  
8 someone getting to continue to work while they're  
9 on dialysis because they're dialyzing at home or  
10 at night, and what are those quality of life  
11 increases of staying off of dialysis in general?  
12 So it's hard to account for things like that in  
13 the model.

14 We also have a couple of benefit  
15 enhancements for this population, the concurrent  
16 care benefit enhancement that allows you to  
17 continue dialysis if you're in hospice. That has  
18 been really popular. We don't have the exact --  
19 the claims data yet. We're trying to get that,  
20 but we do have anecdotal evidence that people are  
21 using this, people like this benefit, as well as  
22 the Kidney Care Education benefit allowing more  
23 patients to get that benefit and additional  
24 medical staff to administer that benefit.

25 So, all right. I think that is all  
26 for kidneys. We do have our first evaluation

1 results will probably be released at the end of  
2 the summer, so please look out for those and send  
3 us any questions. Thank you.

4 MS. SAFFER: Thanks, Laura.

5 I am Tonya Saffer, and I'm pleased to  
6 join you guys today. I'm going to be talking  
7 about the Guiding an Improved Dementia Experience  
8 Model. My division, I'm the Division Director  
9 for the Division of Healthcare Payment Models,  
10 and our division focuses on improving the quality  
11 of lives and care for people with chronic and  
12 serious illnesses.

13 A couple of the models mentioned here  
14 today: Independence at Home and the Medicare Care  
15 Choices Model, were led out of our division. And  
16 now I am pleased to talk about a model that we  
17 are launching very, very soon here, July 1, the  
18 Guiding an Improved Dementia Experience, which we  
19 call GUIDE.

20 Next slide? So as I said, July 1 we're  
21 tracking to launch this model, and we'll test  
22 whether a comprehensive package of care  
23 coordination and care management, caregiver  
24 support and education, and respite services can  
25 achieve three primary objectives.

26 The first to improve patient living

1 with dementia or the person living with  
2 dementia's quality of life, reduce caregiver  
3 strain, and reduce or delay long-term nursing  
4 home placement. The GUIDE Model requires that  
5 these services be delivered by an  
6 interdisciplinary care team that is at least made  
7 up of a clinician with dementia experience and  
8 expertise, as well as a care navigator.

9 Next slide? So Medicare  
10 beneficiaries, oop, I think sorry, we may have  
11 jumped ahead too quickly, there we go, eligible  
12 beneficiaries. Thank you! [laughter]. Medicare  
13 beneficiaries must be fee-for-service enrolled,  
14 community dwelling, and not enrolled in hospice  
15 or a PACE program to be aligned to the GUIDE  
16 Model. They do not need to have a prior diagnosis  
17 of dementia to be eligible for GUIDE as we  
18 recognize there is a great amount of under-  
19 diagnosis of dementia, particularly in  
20 underserved areas, and we also know that there is  
21 a great deal of missed diagnosis.

22 So instead, GUIDE dementia care  
23 programs will be required to use one of two  
24 validated publicly-available tools to assess  
25 someone for whether they have mild, moderate, or  
26 severe dementia. And then scores for these

1 assessments will be used to determine the level  
2 of -- that level of severity, and they'll be  
3 placed into model tiers.

4 Now we can go to the care delivery  
5 requirements. As I mentioned, the comprehensive  
6 assessment is the first step in a person living  
7 with dementia's path in the GUIDE Model. The  
8 person living with dementia will also be asked to  
9 identify a primary caregiver. They will still be  
10 able to participate even if they don't have a  
11 primary caregiver. That caregiver though will be  
12 assessed for stress and strain. And the eight  
13 domains on this slide encompass that package of  
14 care coordination, care management, and caregiver  
15 support services that the dementia care programs  
16 under the GUIDE Model must deliver or partner to  
17 deliver.

18 One of the unique features of this  
19 model is the availability of the respite services  
20 to help caregivers take a temporary break from  
21 their caregiving duties. This is the first time  
22 the Medicare Program will pay for those services,  
23 and our goal is to see whether it helps move the  
24 needle on reducing that strain for caregivers and  
25 reducing nursing home placement. We will also  
26 attempt to collect data on caregivers who are

1 enrolled in Medicare fee-for-service to assess  
2 the impact that respite might have on the  
3 caregiver's health and their use of health care  
4 services.

5           Next slide, I'll talk about the  
6 payment methodology. GUIDE is not a shared  
7 savings or a capitated model. Instead, dementia  
8 care programs will receive a monthly dementia  
9 care management payment, which we refer to as the  
10 DCMP. The DCMP will be billed using G-codes under  
11 the physician fee schedule that are tied to  
12 whether the person living with dementia has that  
13 mild, moderate, or severe status and whether they  
14 have a primary caregiver, and the strain of that  
15 caregiver resulting in those different tiers for  
16 payment.

17           That tiered DCMP will then be adjusted  
18 based on the performance of a set of metrics used  
19 in the model and also adjusted -- a budget  
20 neutrality adjustment based on health equity. So  
21 we call that the health equity adjustment. And  
22 to qualify for that adjustment, that will be  
23 applied based on the percentage points of -- or  
24 sorry, the percentage of patients that are dually  
25 eligible and living in a high area of deprivation  
26 index. So that adjustment will either be plus or

1 minus depending on whether or not the dementia  
2 care program in GUIDE is serving a high number of  
3 underserved beneficiaries.

4 So the dementia care programs will be  
5 able to bill as well for the GUIDE respite  
6 services. And that's annually. There's a cap of  
7 up to \$2,500 per year per person living with  
8 dementia and that have a caregiver and are in the  
9 moderate or severe payment tiers. The GUIDE  
10 Model requires that all dementia care programs  
11 offer in-home respite, but they may also offer  
12 respite services in an adult day health center or  
13 an overnight facility.

14 In addition, to support new dementia  
15 care programs in underserved areas, we have  
16 provided a payment, or we will be providing a  
17 payment, one-time infrastructure payment for  
18 those that meet those qualifications.

19 And my last slide on overlaps. So as  
20 we mentioned, it is not -- GUIDE is not a shared  
21 savings or capitated model and instead was  
22 designed with the intention to be complementary  
23 to other models. And that includes primary care  
24 models. So as a result, GUIDE clinicians and  
25 patients may also be participating in GUIDE, as  
26 well as another CMMI model or the Shared Savings

1 Program.

2 That's my quick overview. There are  
3 many resources on GUIDE on the CMS Innovation  
4 website's GUIDE page. And I'll turn it back over  
5 to Susannah.

6 DR. QUINTON: Thank you so much. I'll  
7 be Susannah's stand-in. I am thrilled that we  
8 have concluded a little bit early and have plenty  
9 of time for question -- Q&A and for this tour de  
10 force of CMMI model presentations.

11 You heard three unique models that  
12 have -- through the evaluation findings and heard  
13 some central challenges in terms of our ability  
14 to evaluate models based on small numbers,  
15 challenges with enrollment, and other benefit  
16 design issues that may be leading to variability  
17 for implementation.

18 You also heard how we're trying to  
19 address this with our new ACO REACH Model and in  
20 changes to the risk adjustment methodology,  
21 provider/patient eligibility criteria, and also  
22 within KCC, what's happening with the concurrent  
23 care. When we say benefit enhancement, that's  
24 internal speak for specific waivers to CMMI model  
25 authority. And then we are so excited for what's  
26 about to roll out in GUIDE.

1           So with that brief summary, really  
2 excited for your questions, really looking  
3 forward to the discussion. And thank you again  
4 so much for the opportunity to present.

5           CO-CHAIR SINOPOLI: Thank you. Thanks  
6 to all the team. And I will just say  
7 congratulations to you all. Sounds like a lot of  
8 hard work. And you're fulfilling the vision of  
9 CMMI to innovate and try new models of care and  
10 then bringing them forward and testing them. And  
11 appreciate you sharing all that with us today.

12           And so I'm going to ask the PTAC  
13 members if they have questions to flip up their  
14 name cards and we can -- Chinni, I think you're  
15 first.

16           DR. PULLURU: Thank you everyone for  
17 the presentation. That was incredible.

18           This is a more general question, but  
19 one of the things we heard this time and in past  
20 public meetings was the timeline that these  
21 models have and the fact that they get cut at a  
22 certain timeline, because then it forces systems  
23 not to be able to invest for the long term and  
24 actually create sustainable infrastructures.

25           So my question to you is how would  
26 you -- how can that change or how would you think



1 about that? Because the investment is  
2 significant in order to be able to make this work.

3 DR. BERNHEIM: I'll start with a quick  
4 answer since it's a general question, but then  
5 any of our panel should feel free to weigh in.

6 I think it's a really important  
7 question, and I'll actually say I think it's an  
8 even more important question as we start to work  
9 in spaces where -- like our Making Care Primary  
10 Model or some of our Medicaid models where we're  
11 working with providers who don't have a lot of  
12 experience in value-based care so they need some  
13 ramp-up time. And what you'll see is that we've  
14 actually extended the length of a lot of the  
15 models now.

16 How long is GUIDE going to run for?

17 MS. SAFFER: I was going to take that.  
18 It's going to run for eight years.

19 DR. BERNHEIM: Yes. So, Tonya, if you  
20 want to add anything else -- but I think there's  
21 two pieces to that: One is what does it take for  
22 us to support with infrastructure payments and  
23 other things, providers, to get ready to really  
24 succeed in value-based care? And then also how  
25 do we help sustain what happens either through  
26 successor models, expansion, or just setting

1 folks up for success in that? So we're thinking  
2 about both sides, right? How to get them on  
3 board, how to have the models be a little longer  
4 and what the other ones are.

5 But do you want to speak specifically  
6 to that in GUIDE just because it's a great  
7 example?

8 MS. SAFFER: Yeah, so GUIDE, as I  
9 said, will run for eight years. And one of the  
10 reasons we did that is because we understood that  
11 there may be a long trajectory, particularly for  
12 the dementia population, in reaching sort of end-  
13 of-life or long-term nursing home placement,  
14 which is a core objective of the model, is to  
15 delay that placement. So we realized we may not  
16 see that early on under a typical five-year  
17 timeline. So we have been thinking about that in  
18 our models, and it's playing out in GUIDE. So,  
19 yeah.

20 CO-CHAIR SINOPOLI: Perfect. Walter?

21 DR. LIN: Thank you for sharing all  
22 that information. That was really wonderful.

23 The impetus for this whole public  
24 meeting is kind of the observation that Medicare  
25 spending is highly skewed. Five percent of  
26 Medicare beneficiaries, those who are seriously

1 ill with complex chronic conditions, account for  
2 over 40 percent of Medicare spending.

3 And I think inherent in that  
4 observation is a common challenge that I heard  
5 across multiple models, which is the issue with  
6 small numbers, right? I think 5 percent. How do  
7 you design care models that only address 5  
8 percent of the population or 10 percent of the  
9 population?

10 And so I think one kind of answer to  
11 that would be you find concentrations of dense  
12 patient populations within certain practices:  
13 oncology, kidney disease, maybe nursing homes for  
14 example of these seriously ill patients who are  
15 densely located, and you find practices that will  
16 focus on that to better incentivize their care.

17 I guess another kind of thought I had  
18 was are there thoughts of encouraging providers  
19 to focus on this population of patients? So what  
20 I'm hearing is kind of these models will  
21 encourage voluntary enrollment of practices that  
22 are kind of doing some of this, but not really  
23 encouraging them to focus more of their efforts  
24 and attention on this rather than kind of easier  
25 fee-for-service patients.

26 DR. BERNHEIM: I'm just going to make

1 sure I heard the question, and then I welcome any  
2 of my colleagues to jump in.

3 I think what you're saying is would we  
4 or have we thought about sort of creating an  
5 incentive to be a specialist in the care of  
6 complex chronically ill patients? I don't think  
7 we've done that directly. I mean, I think we've  
8 more tapped into, as you said, places where  
9 there's concentrated need.

10 And I think the other thing you see as  
11 you look at sort of the early evaluation findings  
12 into ACO REACH is how do we bring some of the  
13 concepts we're trying to build on into these  
14 broader care models, right? So an ACO has a much  
15 broader population, but we can build some of  
16 those kinds of needs into that.

17 But I don't know if anybody else wants  
18 to add to that.

19 DR. QUINTON: I'll try quickly just  
20 with -- and was waiting for the mic. So just to  
21 say as a long-time observer of this Committee  
22 the -- one of the recurrent things we hear is  
23 sort of creating the patient structures that the  
24 clinical care are following. At least I've heard  
25 that said today and in previous conversations.

26 And I think from a CMMI perspective --

1 and I want to at least take an opportunity to  
2 make sure that David and Meghan have an  
3 opportunity to speak on IAH and ACO REACH -- but  
4 we would think that some of the work that's been  
5 done to facilitate both the integration of  
6 these -- integration within an ACO construct and  
7 then also the establishment of the high-needs  
8 track within ACO REACH would encourage more  
9 clinicians to like choose to care for these  
10 populations. At least that would be my  
11 observation as an individual clinician.

12 And I think David in particular can  
13 speak to some of the challenges in terms of having  
14 this be a unique model for this defined  
15 population.

16 I will say one maybe under-the-hood  
17 feature of GUIDE that I think is particularly  
18 interesting is the proportion of the patient  
19 population that has dementia and that kind of  
20 dementia specialist that was included in some  
21 early conversations around GUIDE. So certainly  
22 I would welcome Tonya's comments as well.

23 MS. SAFFER: I don't know that I have  
24 anything more profound to say, but I would say  
25 that we were pretty broad in who could qualify as  
26 a dementia -- and we say a clinician with dementia

1 expertise, right? So we expect to see palliative  
2 care providers. We expect to see geriatricians.  
3 We expect to see primary care, generally  
4 internists caring for this population.

5 And really what that expertise was based on  
6 is a number of factors: Have they cared for a  
7 certain percentage of their population with  
8 dementia before? Have they -- do they have  
9 clinical training in dementia? So there were a  
10 number of ways to qualify for that which broadens  
11 the pool of the types of clinicians that can  
12 participate in care for patients in this model.

13 I would love to hear David. He's  
14 going to go -- I'm like, okay, talk more about  
15 IAH because he and I have had lots of  
16 conversations about the struggles of caring for  
17 these high-needs populations.

18 DR. NYWEIDE: Well, the only thing  
19 I'll add is that IAH was specifically targeted  
20 toward practices that delivered home-based  
21 primary care. That was the solution to treating  
22 and caring for patients with high needs and high  
23 costs.

24 An ACO high-needs type of ACO wasn't  
25 that prescriptive. It said it allowed providers  
26 who wanted to treat and care for patients in any

1 number of care delivery mechanisms. It allowed  
2 them in. There were a number of IAH practices  
3 that transitioned to ACO REACH for similar  
4 reasons. They thought it was more flexible and  
5 it provided an opportunity to care for a broader  
6 set of patients. So that's what I would add.

7 CO-CHAIR SINOPOLI: Alright, Lee?  
8 Okay. Lindsay?

9 DR. BOTSFORD: Yeah, thank you,  
10 Angelo. Two questions: One, I think  
11 specific on the VBID Hospice and then one maybe  
12 a follow-on to that.

13 I think the first one is you pointed  
14 out that the utilization of the services was less  
15 than intended, expected, or whatever word you  
16 want to use there. My curiosity was around how  
17 would a patient or beneficiary or a primary care  
18 provider know that that MAO was participating in  
19 it?

20 So I guess one question is if we're  
21 thinking about making consumers in general, our  
22 patients, our providers aware of all of these,  
23 all of these different programs, how would they  
24 have known that they had this extra benefit  
25 available to them?

26 And then I think maybe the broad

1 follow-on in general is there are so many things  
2 and some with some very specific benefits for the  
3 beneficiary as well. As you look at the GUIDE  
4 with the caregiver respite services, I mean,  
5 that's huge. You think about the VBID and the  
6 ability to access things you can't. To what  
7 extent are the models considering how patients or  
8 beneficiaries know to seek out providers that are  
9 participating in these models and kind of shine  
10 a light on new things that are available to them?

11 DR. DRIESSEN: Great. I'm happy to go  
12 first and talk about the VBID experience. And I  
13 think I had a bullet point sort of that was 1,000  
14 words sort of on your exact question, which is I  
15 think on the ground this notion for MAOs of how  
16 you educate the sort of vast network of providers  
17 given that there are so many entry points to the  
18 potential conversations related to palliative  
19 care, related to TCC<sup>25</sup> and hospice was  
20 particularly challenging, sort of one of those  
21 things that I think folks anticipated but then  
22 the full magnitude really came out when it really  
23 was at go-live.

24 So that was certainly a place I think  
25 where participants and sort of the team on this

---

25 Transitional Concurrent Care



1 side of the house are still kind of working to  
2 troubleshoot and improve because there is just  
3 such a vast array of how folks end up at the kind  
4 of right place and have that information and  
5 getting there.

6 And there's also I think an awareness  
7 on the part of participants that they were very  
8 clear with us about the particular position  
9 they're in as a plan and wanting to sort of stay  
10 somewhat comfortably arm's length from a decision  
11 that really is more about the provider and the  
12 patient. So there's also sort of intentionally  
13 trying to be two degrees away and kind of capture  
14 the broad network of the ways folks may end up  
15 having that conversation.

16 So that's, it's more of a recognition  
17 of the challenges than the sort of solutions that  
18 we figured out, but it is a place where at the  
19 frontier we're working on.

20 DR. BOTSFORD: So to clarify, it was  
21 fully on the MAO to decide if and how much they  
22 chose to popularize to their beneficiary that  
23 this benefit existed?

24 DR. DRIESSEN: Well, to some extent.  
25 So there was certainly a floor, right? So it was  
26 part of sort of the notice of coverage and other

1 things in the sense that -- with the way other  
2 parts of the benefit are, but in terms of how it  
3 was disseminated, participants did engage in I  
4 think relatively intensive across-the-board kind  
5 of education efforts. It just it -- that was one  
6 of those ways in which it did vary how they went  
7 about doing that and even sort of how they changed  
8 that over the course of the model.

9 MS. SAFFER: And I can add for GUIDE  
10 because I think we're doing something unique  
11 where we are trying and testing whether sending  
12 letters to beneficiaries directly that --  
13 alerting them of the GUIDE Model and listing  
14 participating dementia care programs that are in  
15 their service area will actually improve uptake  
16 and connection to those programs. So that's  
17 something that's unique about the model that we  
18 are trying out.

19 And I think the other -- which is  
20 normal in all of our models is that we do a lot  
21 of robust learning and education with the  
22 participants. We have a whole group within the  
23 Innovation Center that their responsibility is  
24 for developing learning plans for providers and  
25 helping with even peer-to-peer-based education.  
26 And so one of the topics in our model -- and I

1 know that this has been the case in other models,  
2 is peer-to-peer what works? What's working in  
3 terms of outreach and education and drawing more  
4 people in to these programs? And I think on the  
5 ground, as Julia pointed out, the providers who  
6 are close to the patients or close to each other  
7 know the situation might have the best solutions  
8 to pose to one another.

9 CO-CHAIR SINOPOLI: All right. Great.  
10 Thank you. Appreciate all eight of you being  
11 here today and just sharing all this great  
12 information. And so, we're going to take a break  
13 now from 12:00 to 1:00, and we'll be back at 1:00,  
14 Eastern Time. Ask everybody else to join us back  
15 at that time. Thank you.

16 (Whereupon, the above-entitled matter  
17 went off the record at 11:58 a.m. and resumed at  
18 1:03 p.m.)

19 CO-CHAIR SINOPOLI: Welcome back. I'm  
20 Angelo Sinopoli, one of the Co-Chairs of PTAC.  
21 We've invited four guest experts who have unique  
22 perspectives to share on best practices  
23 for incentivizing improved outcomes for patients  
24 with complex chronic diseases or serious illness  
25 in total cost of care models.

26 You can find their full biographies in

1 the slides posted on the ASPE PTAC website along  
2 with other materials for today's meetings.

3 \* **Listening Session 3: Best Practices**  
4 **for Incentivizing Improved Outcomes for Patients**  
5 **with Complex Chronic Conditions or Serious**  
6 **Illnesses in PB-TCOC Models**

7 I will now turn it over to Committee  
8 member Terry Mills to introduce our presenters  
9 and to facilitate this listening session.

10 Terry?

11 DR. MILLS: Thanks so much, Angelo.

12 Excited about this session, some  
13 exciting experts to present to us about best  
14 practices incentivizing improved outcomes for  
15 these patients with complex chronic conditions.

16 I'm excited to hear about their  
17 experience and recommendations.

18 We're going to start with Marie  
19 Bresnahan.

20 I'll ask each person, in turn, just to  
21 introduce themselves briefly. And then, give us  
22 your presentation.

23 So, Marie, please take it away.

24 MS. BRESNAHAN: Thank you so much.

25 Good afternoon, my name is Marie  
26 Bresnahan. I'm the Director of Training, Policy,

1 and Administration in the Viral Hepatitis Program  
2 at the New York City Health Department.

3 I'm joined here today by Dr. Bruce  
4 Schackman, a distinguished professor in the  
5 Department of Population Health Sciences at Weill  
6 Cornell Medical Center.

7 And let me start by describing Project  
8 INSPIRE.

9 Next slide, please. Project INSPIRE  
10 was funded by CMS as a three-year Health Care  
11 Innovation Award designed to implement a care  
12 coordination model for treatment of the Hep C  
13 virus, or HCV, for high-need patients in New York  
14 City.

15 This model was designed to move care  
16 for Hepatitis C out of specialty clinics,  
17 primarily infectious disease and  
18 gastroenterology, and into primary care.

19 For this project, we worked with  
20 clinics located in East Harlem and the Bronx  
21 which were affiliated with Mount Sinai Medical  
22 Center and Montefiore Medical Center.

23 We developed a multi-provider bundled  
24 episode of care payment model which was designed  
25 to move treatment for Hep C into primary care and  
26 provide care coordination and support to patients

1 through treatment to cure.

2 This was presented to the PTAC back in  
3 2017. So, I want to describe a little bit more  
4 about the target population.

5 Next slide, please. When our project  
6 was developed, the majority of people with  
7 chronic Hepatitis C had been infected in the  
8 1960s and '70s. They were baby boomers born  
9 between 1945 and 1964.

10 The demographics of people infected  
11 with Hepatitis changed as many more young people  
12 began injecting drugs because of the opioid  
13 epidemic.

14 And now, we see high rates of  
15 Hepatitis C infections among two groups, ages 25  
16 to 45 and 55 to 70 years.

17 An estimated 40 percent of people  
18 living with Hepatitis C have comorbidities  
19 including behavioral health issues, substance  
20 use, and chronic diseases such as HIV, diabetes,  
21 and kidney disease.

22 As many of you probably know, people  
23 with history of injection drug use most commonly  
24 -- that is the greatest risk factor for Hepatitis  
25 C, injection drug use.

26 So, I'd like to describe a little bit

1 more about the current state of Hepatitis C on  
2 the next slide where you will see that Hepatitis  
3 C remains a leading blood-borne infection in the  
4 United States.

5 And despite the availability of direct  
6 acting antivirals, incidence for Hepatitis C has  
7 tripled due to unsafe injection practices  
8 associated with the opioid crisis. Incidence in  
9 mortality associated with cirrhosis due to  
10 Hepatitis C has risen steadily since 1990.

11 And the treatments now for Hepatitis  
12 C are significantly less expensive than when they  
13 were first available.

14 And many of the health insurance  
15 barriers such as prior authorization requirements  
16 have been removed.

17 So, the major medical societies such  
18 as AASLD<sup>26</sup> have developed a simplified treatment  
19 algorithm, and much of the treatment for  
20 Hepatitis C is moving to a test and treat model.

21 And treatment is being provided in  
22 many places including jails, prisons, homeless  
23 shelters, substance use treatment programs, as  
24 well as in primary care.

25 So, despite these advances, the

---

26 American Association for the Study of Liver Diseases

1 current care cascade using National Laboratory  
2 data shows, on the next slide, please, that  
3 overall, only 34 percent of people diagnosed with  
4 Hepatitis C have been cured.

5 This study used more than 1.7 million  
6 people nationwide diagnosed with Hepatitis C  
7 between 2013 and 2022, which represents the first  
8 10 years when safe and highly effective cures for  
9 Hepatitis C became available.

10 Other data in this study found that  
11 only 1.4 adults under the age of 40 were cured.

12 Cure rates were highest among adults  
13 60 and older with Medicare and commercial  
14 insurance.

15 And yet, still, less than half of  
16 those folks have been cured or were cured when  
17 this study was completed.

18 So, we're leaving people, many people,  
19 with Hepatitis C in this country behind nearly a  
20 decade after curative treatments have become  
21 available.

22 So, I'd like to credit Dr. Rachel  
23 Florence, Senior Advisor to the White House on  
24 the National Plan for Hepatitis Elimination, for  
25 this and the next two slides.

26 She recently presented these slides in



1 New York. And they clearly spell out the need to  
2 ramp up treatment for Hepatitis C to promote  
3 better health and economic outcomes.

4 On this slide, you'll see the National  
5 Bureau of Economic Research did projections and  
6 found that increasing treatment for Hepatitis  
7 would have clinical benefit, including reduction  
8 of Hepatitis C-related Hepatocellular Carcinoma  
9 you see in the top left chart there, liver related  
10 deaths on the top right, diabetes on the bottom  
11 left, and chronic kidney disease on the bottom  
12 right over 10- and 20-year projections.

13 And increasing treatment for  
14 Hepatitis C would have economic impact as well.

15 On the next slide, you'll see  
16 projections found that increasing treatment of  
17 Hepatitis C would result in \$18.1 billion in cost  
18 savings over 10 years and \$57.1 billion over 20  
19 years.

20 So, let's get back to the work we did  
21 in New York City with our Health Care Innovation  
22 Award.

23 On the next slide, what you will see  
24 was designed to provide treatment for Hepatitis  
25 C to Medicaid and Medicare patients that included  
26 comprehensive social determinants of health

1 assessments, integrated behavioral health  
2 services, and medical care.

3 Our work on Project INSPIRE was  
4 designed to demonstrate better health outcomes  
5 and demonstrate cost savings, which you can see  
6 on this slide.

7 So, although we were able to  
8 demonstrate success with our model, the current  
9 health care environment is still not well  
10 equipped to treat all of those with Hepatitis C  
11 who need it.

12 On the next slide, you'll see some of  
13 what we think is missing.

14 We need more primary care providers  
15 who are comfortable treating Hepatitis C using  
16 the simplified algorithms.

17 We need care coordination which was  
18 found to reduce barriers to care and improve  
19 patient outcomes, particularly for hard to reach  
20 and hard to treat populations.

21 We need care teams that include people  
22 with lived experience and those able to support  
23 patients through the process of screening,  
24 treatment, and cure.

25 And payment models that support this  
26 care coordination and the peer specialists that

1 would help us increase the number of Hepatitis C  
2 patients treated and cured.

3 And thanks to recommendations from the  
4 PTAC, we've explored the use of complex care  
5 management codes previously and found that these  
6 codes were not widely used and that CCM<sup>27</sup> payments  
7 were insufficient to fully reimburse the costs of  
8 this model.

9 So, what worked on Project INSPIRE, on  
10 the next slide, was tele-mentoring which allowed  
11 non-specialist providers to receive training in  
12 Hepatitis C and created knowledge networks where  
13 clinical guidance and case studies could be  
14 discussed.

15 And care coordination through  
16 nonlicensed care coordinators delivered health  
17 promotion and coaching and other services to  
18 support patients through care to the cure.

19 Our model also included peer  
20 specialists with lived experience who conducted  
21 outreach and provided support.

22 Next slide?

23 On Project INSPIRE, we also looked at  
24 how to reimburse these services. And we found  
25 that a potential payment model calculated in

---

27 Chronic care management

1 three phases which would support the kind of care  
2 you see in this table on this slide for patients  
3 from enrollment to treatment initiation, from  
4 treatment initiation to treatment completion, and  
5 a bonus payment for getting patients to come back  
6 for laboratory evidence of successful outcome,  
7 also called sustained viral response to verify  
8 that they had been cured.

9 In conclusion, on the next slide,  
10 we've outlined what we think are some  
11 implications for policy and practice, that a  
12 multi-disciplinary team with care coordinators  
13 and peer specialists focused on curing Hep C  
14 proved effective.

15 And a similar approach could be used  
16 to support other complex chronic conditions.

17 That a payment model, including a  
18 bonus payment to support the tele-mentoring that  
19 would not have been otherwise reimbursed.

20 So tele-mentoring requires some  
21 funding to support the time that a specialist  
22 spends with the primary care providers to teach  
23 them about how to treat Hep C patients.

24 And that savings will accrue for  
25 caring for complex patients appropriately in non-  
26 specialist settings, avoiding -- and avoiding the

1 downstream medical costs from untreated Hepatitis  
2 C.

3 So, on our last slide, I just want to  
4 thank my many colleagues at the New York City  
5 Health Department and our partners who worked  
6 with us on Project INSPIRE.

7 The next slide, please -- outlines our  
8 clinical partners at Mount Sinai Medical Center  
9 and Montefiore.

10 We also had payer partners from  
11 HealthFirst and Select Health, the Visiting Nurse  
12 Service of New York, as well as my colleague and  
13 his partners here at Weill Cornell Medical Center  
14 for Health -- the Center for Health Economics and  
15 Treatment Interventions for Substance Use  
16 Disorder, Hep C and HIV.

17 So, Weill Cornell worked with us  
18 throughout Project INSPIRE to help us develop the  
19 payment model.

20 And the last slide just has our names  
21 and contact information. We are available for  
22 questions.

23 DR. MILLS: Thank you so much for that  
24 great explanation of your project.

25 Appreciate your presentation, Marie  
26 Bresnahan.

1           Dr. Schackman, I apologize for leaving  
2 you off of the initial introduction.

3           Let's proceed one with Jason Feuerman,  
4 President and CEO of LTC ACO.

5           MR. FEUERMAN: Hi, good afternoon.

6           Thank you for having me and the  
7 privilege to present to the PTAC Committee.

8           Just to give you some background  
9 really quickly, I come at this from dealing with  
10 the Medicare -- very high-risk Medicare Advantage  
11 patients over the last 20 years plus, over the  
12 last 10 years working not only with the bundled  
13 payment system that came out of CMMI, but  
14 operating the largest and most nationwide  
15 Accountable Care Organization through the  
16 Medicare Shared Savings Program.

17           And so, a lot of what I'm here to share  
18 are thoughts, are opinions, or things that we've  
19 learned through both being a payer and working  
20 within the fee-for-service world.

21           So, next slide, please. So, I think  
22 this first bullet is extremely important. Not  
23 having financial incentives will never change the  
24 outcomes regardless of the population.

25           You know, physicians work in a  
26 productivity model, and they must be incented to

1 help drive down costs and rewarded for driving  
2 down those costs or things just won't change.

3 Financial incentives should not be  
4 identical for all populations. So, whether  
5 you're dealing with a high-risk dual eligible  
6 population or you're dealing with a high-risk  
7 nursing home population, or we're talking about  
8 disease-specific complexities, having one  
9 financial incentive program for a group like that  
10 doesn't necessarily work.

11 It really should be targeted so you  
12 can be assured you're getting to the right  
13 providers who are dealing with the intended  
14 population.

15 Financial incentives, obviously, must  
16 be meaningful.

17 You know, the number one feedback we  
18 get from -- or we've gotten from providers is,  
19 you know, if it's not meaningful, I just don't  
20 have the time nor the staff to bear the cost of  
21 taking that additional time for complex  
22 populations.

23 So, it's extremely important that  
24 they're meaningful.

25 And whether that's coming out of the  
26 payer world or as CMMI and CMS are coming up with

1 and refining different models, financial  
2 incentives, I believe, must be an integral part  
3 of that and make them meaningful enough that  
4 providers are willing to take that extra time to  
5 care for these patients the way they need to be  
6 cared for.

7 And then, finally, and really,  
8 extremely important, is financial incentives must  
9 be provided in a timely manner. And that's time  
10 to the projected outcome.

11 So, as we think about some of the  
12 programs, for instance, that have come out of  
13 CMMI and CMS, specifically, Medicare Shared  
14 Savings Program, and other population-based  
15 programs, those shared savings do not get paid to  
16 the participants until about 9 to 10 months after  
17 the end of the calendar year.

18 So, it's very difficult without some  
19 creativity within the program to really align the  
20 effort and projected outcomes with the payments.

21 And so, to get a provider's attention,  
22 they need to be more closely related.

23 So, next slide?

24 So, a properly designed program really  
25 needs to focus in on -- and I just gave a few  
26 examples here, but and they're really, the high-



1 cost entry points into our health care system.

2 And so, what we looked to measure is  
3 the use of emergency room versus an urgent care  
4 setting or the proper specialists or primary care  
5 provider.

6 Obviously, for a high-risk population  
7 that's highly compromised, going through the  
8 emergency room, will more than likely, turn into  
9 a hospital admission.

10 So, that should be measured.

11 Proper utilization of specialty  
12 services is also something that needs to be  
13 zeroed in on, especially as we think about the  
14 last presenter talking about Hepatitis C.

15 If they're not with the right  
16 provider, they're probably not going to get the  
17 care that's going to yield the best outcome.

18 And then, we need to be looking at  
19 over and, more importantly, I'll talk about this  
20 a little later, but underutilization of services.

21 Other key components should, because  
22 so many folks think that, you know, they're  
23 dealing with a high-risk population, CMS does  
24 have a way, not a perfect way, but CMS does have  
25 a way to adjust for the acuity of a population  
26 and that's generated by ensuring that there's

1 accurate and complete ICD<sup>28</sup>-10 coding for risk  
2 adjustment purposes.

3 And this is called the HCC Program.  
4 It's imbedded in the Medicare Advantage Program.

5 It's imbedded in the Medicare Shared  
6 Savings Program, as well as many states have  
7 adopted it for their Medicaid programs as well.

8 And then, an important guardrail that  
9 needs to be in there is that quality measures  
10 need to be meaningful to service as an  
11 imperative, kind of a guardrail to ensure the  
12 efficacy of this -- of a model.

13 Next slide, please. So, I think very  
14 quickly, components of a program - a well-  
15 designed program really needs to be simple so  
16 that providers understand it and people can  
17 explain it to them and it can be implemented.

18 Number two, transparency is extremely  
19 important. And too many programs, whether that's  
20 coming out of the fee-for-service world or coming  
21 out of the payer world, data transparency either  
22 intended or unintended, it creates a rub between  
23 the payer and the provider, also works to  
24 discount the results you get from the program.

25 Relevant metrics is an important piece

1 of the program, obviously. And that's why, when  
2 we think back to the first or second slide, I  
3 indicated that you can't have one reimbursement  
4 -- or one incentive program for a multitude of  
5 disease states and populations. They should be  
6 very specific and relevant.

7 And then, I spoke earlier about the  
8 quality measures so that a provider isn't so  
9 focused on just the financial aspect which, while  
10 important, if it's not guarded well with quality,  
11 it will lose its efficacy and it'll lose its  
12 ability to be adopted in a greater sense.

13 Next slide?

14 And so, as we think about developing  
15 models, there's always going to be unintended  
16 consequences.

17 Providers are thinking, well, if I'm  
18 being provided to give better care or incented to  
19 provide better care, and in a total cost-based  
20 environment, then, really, I want to do as much  
21 possibly as a provider to contain costs.

22 And that can be a real detriment to a  
23 program, and one that is, obviously, very  
24 unintended.

25 So, we look at underutilization of  
26 specialty care. So, if you've got dialysis

1 patients and they're not being -- under that  
2 situation, it's probably a better one because  
3 they are being seen by a nephrologist, but, you  
4 know, the diabetic who isn't being seen by an  
5 endocrinologist, being treated specifically by a  
6 primary care provider that may not specialize in  
7 diabetic patients could be very risky.

8 The underutilization of home and  
9 community-based services is another area.

10 A lot of these folks are susceptible  
11 to non-medical issues that create medical issues  
12 that send them back to the hospital.

13 And so, there are plenty of home and  
14 community-based services out there that should be  
15 leveraged.

16 The deferment of services to reduce  
17 costs being measured under a value-based program  
18 can't be overlooked.

19 You know, it's not good enough to say  
20 we'll put off that EKG, for instance, for another  
21 few months for the sake of saving money. And  
22 meanwhile, the person's in heart failure and  
23 costs the system a hundred times more money than  
24 the cost of the EKG.

25 And then, too much focus on a patient  
26 complexity while overlooking aspects of care and

1 social determinants of health.

2 And that's really kind of ties into  
3 the second bullet. It's extremely important that  
4 all the right components of the health care  
5 delivery system, whether that's clinical or non-  
6 clinical, is properly leveraged.

7 And then, again, getting back to  
8 quality, if there's a perception out there that  
9 this is all financially driven without quality  
10 outcomes being measured and being rewarded as  
11 well, or penalized because of the lack of quality  
12 care, providers can get disenfranchised with the  
13 program.

14 And so, and I believe there's another  
15 slide.

16 So, in terms of lessons, works -- what  
17 doesn't work, and I hate to be negative and  
18 putting that first, but it's -- I think it's  
19 extremely important, what doesn't work is not  
20 accounting for and properly recognizing the  
21 acuity of the population being served.

22 And what I mean by that is, you've got  
23 -- you had these very serious populations out  
24 there that drain the health care system from a  
25 cost perspective and not really being able to  
26 properly recognize that acuity and accounting for

1 it is something that could undermine the efficacy  
2 of the program.

3 Not providing timely payment for  
4 performance, not necessarily on an annual basis  
5 but maybe on a quarterly basis. We found that in  
6 the Medicare Advantage world to very beneficial.

7 We've even gone as far as implementing  
8 that under the Medicare Shared Savings Program in  
9 certain situations.

10 Assuming that all providers will  
11 respond to financial incentives. That doesn't  
12 hold true. There are plenty of providers that,  
13 either their business model doesn't allow for it,  
14 they don't have time for it, or they're just, for  
15 whatever reason, they're just not interested in  
16 responding to financial incentives.

17 And then, penalties, we saw this in  
18 the early introduction, particularly out West  
19 with Medicare Advantage with a lot of downstream  
20 risk where the providers themselves, if they  
21 didn't perform well, were giving money back to  
22 the Medicare Advantage Program.

23 And that's something that, after a  
24 while, just evaporates any value of a financially  
25 based incentive program.

26 So, what does work?

1           As I indicated, payment as close to  
2 the time of performance as possible.

3           Risk adjusting for the population  
4 served is very important, but it's one that  
5 should be looked at very closely to ensure that  
6 it's properly adjusting for the population and  
7 not just from a numerical perspective.

8           Providing regular data to -- regular  
9 performance data to providers, I've always felt is  
10 one of the most critical things in making a  
11 program like this work.

12           You have really, in my opinion, waking  
13 up an ecosystem by giving -- by creating that  
14 transparency and giving providers information  
15 that they don't necessarily have on their patient  
16 population and their utilization services outside  
17 of their scope. And so, that's extremely  
18 important.

19           And then, proper education of  
20 providers to the incentive being offered. So,  
21 just rolling a program out there is something  
22 that could be troublesome for a program -- can  
23 you go back one slide yeah-- can be troublesome  
24 to certain providers.

25           So, being able to keep it simple so  
26 that the individual charged with speaking to the

1 provider or providers can get their attention,  
2 can have a greater chance of getting the  
3 incentive program across and making it more  
4 effective and, thereby, not only containing  
5 costs, but getting better quality outcomes.

6 And then, finally, the next slide,  
7 conclusions, thank you.

8 Yes, it's working with all providers  
9 to create the value-based and quality-driven  
10 performance incentives, especially those for  
11 high-cost patients, obviously, that consume a  
12 disproportionate amount of cost and time.

13 So, in looking at programs, there is  
14 a theory that you don't want to necessarily just  
15 incent, say, a primary care provider, but work on  
16 incenting for a population, but work on incenting  
17 different providers along that specific  
18 continuum so that you're creating alignment  
19 within the -- within that delivery system.

20 The next bullet is encourage CMS and  
21 state Medicaid programs, not only to support, but  
22 participate, in the initiative beyond the current  
23 programs run by CMS and CMMI, including examples  
24 such as Medicare Advantage and Medicare Shared  
25 Savings Programs, you know, making that almost an  
26 integral part of participating in these programs.



1           Because it's one thing to incent and  
2 generate savings and share savings with the ACO  
3 itself, for instance, or with the Medicare  
4 Advantage Program itself.

5           But if it doesn't make its way down to  
6 the provider level in a timely manner, then it  
7 probably won't work and have the most desired  
8 outcome as expected.

9           And unless financial incentives  
10 become an inherent part of our provider  
11 reimbursement structure, which it really isn't  
12 today, which is unfortunate, changes to outcomes  
13 and performance will be greatly limited,  
14 constrained, and unachievable.

15           So, I think that goes without saying  
16 just the importance of these incentives to get  
17 out of that production-based environment, one  
18 that's more grounded on better outcomes, both for  
19 the system and, most importantly, for the patient  
20 or beneficiary.

21           And with that, I think that's the end  
22 of my presentation.

23           I want to thank you all.

24           DR. MILLS: Wonderful, thank you so  
25 much, Jason, for that insight.

26           We will come back with questions after

1 the end of all presentations.

2 We'll turn now to Dr. Bruce Leff,  
3 Professor of Medicine and Director of the Center  
4 for Transformative Geriatric Research at Johns  
5 Hopkins.

6 Bruce, take it away.

7 DR. LEFF: Coming off of mute.

8 Thanks very much. It's a pleasure to  
9 be here and, I think my comments will resonate  
10 with both Jason and Marie's comments.

11 I've been asked to talk today as a  
12 geriatrician and health services researcher,  
13 someone who's been interested in quality of care,  
14 delivery of care, especially in home and  
15 community-based settings to talk about quality  
16 outcomes, across settings for folks with serious  
17 or complex chronic conditions, think about data  
18 sources and measures.

19 And if we go to the next slide, I just  
20 want to, first, present a few caveats.

21 I think you're aware of most of these,  
22 but just want to try and tee them up, perhaps, in  
23 a unique way, thinking about this population, see  
24 if there are any learnings or insights from  
25 existing clinical or payment models that could be  
26 of use to us.

1           And then, talk about some associated  
2 issues that I think it's important for the PTAC  
3 to be aware of.

4           So, next. So, some caveats on how to  
5 monitor quality patient outcomes and quality of  
6 life for these patients.

7           And just by starting off by saying the  
8 nature of these patients makes this a particular  
9 challenge and a few caveats.

10           So, starting with next slide, number  
11 one. It's, first of all, I think, really  
12 dangerous to assume that these patients are  
13 getting care. They are often an invisible  
14 population.

15           So, these are data from Kaiser  
16 Permanente. They are members, but not  
17 necessarily getting care through Kaiser.

18           They looked at their 100,000 or so  
19 most complex patients out of a population of  
20 several million, did some latent class analysis.

21           One of the classes they identified  
22 were the frail elderly in red.

23           And if you go all the way to the right  
24 hand column, you'll see that 27 percent of the  
25 most frail elderly, the most complex, had zero  
26 outpatient visits in the previous year, but still

1 had among the highest mortality rate and the  
2 highest rate of hospitalizations in this entire  
3 analysis.

4 And next, caveat number two.

5 There's a tremendous amount of  
6 heterogeneity in the population.

7 So, these are actually Medicaid data,  
8 somewhat dated, but the principles apply.

9 The tales of morbidity are long and  
10 complex. Single disease constructs, there are  
11 some exceptions, but with rare exceptions are  
12 often irrelevant for care or quality assessment  
13 in this population.

14 And if you think about cost, we often  
15 talk about cost as if it's just one thing when  
16 you're targeting patients, but is it the cost for  
17 the illness? Is it a cost for the illness plus  
18 associated conditions? And there are many ways  
19 of slicing and dicing.

20 Next. Number three would be that many  
21 critical factors highly associated with quality  
22 and outcomes are not captured in claims or even  
23 structured electronic health record data.

24 Things like functional status, social  
25 constructs, going to back to what Jason said.

26 We did a study where we looked at

1 claims data, structured EHR<sup>29</sup> data, and in green,  
2 we actually did some natural language processing  
3 around text data in the records in over 18,000  
4 patients.

5 So, if you compare the green, the text  
6 data to the blue or red structure data for some  
7 items, so, for instance, lack of social support.

8 You're more likely to -- you're 455  
9 times more likely to find that information about  
10 a patient compared with structured data.

11 And next. So, the status of these  
12 patients is not static, and it's associated with  
13 very high mortality rates.

14 So, this is a study we did looking at  
15 incident homebound in that left hand column,  
16 folks who are not homebound in the previous year  
17 become homebound.

18 And then we looked to see what happens  
19 to them over the next six years. Sixty-six  
20 percent of them are dead within six years. But  
21 you'll see that status, in terms of homebound,  
22 can vary quite a bit over time.

23 You're homebound, maybe you're  
24 permanently homebound, but maybe you vary between  
25 various homebound states. So, thinking about

---

29 Electronic health record

1 that is important.

2 And five, care provision is not  
3 centralized. So, this makes attribution a  
4 significant problem.

5 These data are almost 20 years old,  
6 the New England Journal article.

7 And if you look at folks with more  
8 than seven chronic conditions, the median number  
9 of physicians seen is 11. Median PCPs, primary  
10 care physicians, median is three. And the median  
11 number of specialists is eight. A big challenge.

12 Next. So, a question for you all is,  
13 is the top 5 percent of spending the right target?  
14 What's really the North Star of this effort? Is  
15 it to keep spending down? Maximize quality?  
16 Finding patients with rising risk and keeping  
17 them from getting expensive?

18 I think if you just focus on spending  
19 thresholds, that's an error.

20 I think it's useful to keep in mind,  
21 you can only improve quality for patients who are  
22 getting poor quality care, which is probably most  
23 patients, quite honestly.

24 But you can only save money on  
25 patients who cost money and have preventable  
26 costs.

1           A lot of talk about 20 percent costing  
2           80 percent. But not all of that is preventable.

3           And, next. So, to that point, this is  
4           a terrific study from Jose Figueroa and his group  
5           up at Harvard.

6           They did various slicing and dicing of  
7           the populations.

8           So, if you look at that second set of  
9           columns from the left, frail elderly are about  
10          8.6 percent of the Medicare population, but  
11          constitute about 51 percent of preventable costs.  
12          Important.

13          And studies estimate that the  
14          preventable proportion of Medicare costs is on  
15          the order of 5 to 10 percent. It's not that 80  
16          percent, but 5 to 10 percent of a big, big number  
17          is important and worth focusing on.

18          And, next. So, some learnings, are  
19          there learnings from other models?

20          Next. So, these are some data from  
21          the Independence at Home Demonstration from CMMI.  
22          I think this is probably the most  
23          underappreciated and probably the most successful  
24          CMMI demo.

25          This demo which focused on folks who  
26          are homebound receiving home-based primary care,

1 interdisciplinary care had 10 times the savings  
2 of per year savings of ACOs and averaged about 9  
3 percent savings per year.

4 It was a Shared Savings mechanism.

5 And actually achieved success not  
6 adhering to many of Jason's very excellent  
7 recommendations in terms of getting money to  
8 providers quickly. That was a big barrier and  
9 could have been more successful.

10 And next?

11 So, the Independence at Home quality  
12 metrics that were used were things like follow-  
13 up contacts within 48 hours of hospital  
14 admissions and ED<sup>30</sup> visits, med rec within 48  
15 hours, all-cause hospital admissions, annual  
16 documentation of preferences.

17 And I think Diane will be talking to  
18 that.

19 I put the two in red in numbers five  
20 and six, hospital admissions for ambulatory-  
21 sensitive care conditions, and ED visits for  
22 ambulatory-sensitive care conditions.

23 I don't think those are particularly  
24 useful.

25 Next. I think it's useful to look at



1 the ACO REACH quality measure set which is  
2 actually pretty good.

3 They have some claims-based measures,  
4 all condition readmission risk standardized, all-  
5 cause unplanned admissions with multiple chronic  
6 conditions, a nice one, days at home for patients  
7 with complex chronic illness in the high-needs  
8 ACO only.

9 And then, timely follow-up after  
10 exacerbations of chronic conditions.

11 These feel more aligned with the care  
12 patients with serious illness and complex chronic  
13 conditions need, and also importantly includes a  
14 component of patient experience.

15 Next. So, you know, thinking about  
16 additional dimensions or constructs of quality to  
17 think about, I think these are all things worth,  
18 you know, putting on the white board.

19 They're often difficult to measure.  
20 But, you know, access to specialty care,  
21 provision of urgent care.

22 And a lot of qualitative work that  
23 we've done, provider competency is often a huge  
24 concern of patients, one that is really often not  
25 addressed.

26 There's literature on goal attainment

1 approaches to quality which may not quite be  
2 ready for prime time, but I think are worth  
3 thinking about.

4 Continuity of care and care  
5 fragmentation and care coordination measures also  
6 evolving.

7 And then, end-of-life care, hospice  
8 referral rates, death in preferred settings worth  
9 looking at, and long-term care placement.

10 And next. So, you know, I think it's  
11 useful to think about a core set, you know,  
12 something along the lines of ACO REACH.

13 But then, I think it's very useful to  
14 think about structure and process requirements  
15 for the kinds of care these folks receive.

16 So, interdisciplinary care team and  
17 structure very important here.

18 24/7 clinical responsiveness and not  
19 a phone tree that anyone, you know, that someone  
20 with an IQ of a 100, you need an IQ of 150 to get  
21 past. They need to be able to talk to people at  
22 3:00 in the morning, with people who know them.

23 Principle of comprehensive  
24 assessment, monitoring care across settings,  
25 having concurrent palliative and disease-  
26 directed care, appropriately targeting patients

1 and services, very critical to integrate medical  
2 and social supports for those populations.

3 Caregiver supports critical.

4 Having explicit financial incentives  
5 as Jason alluded to a few moments ago.

6 Very important to allow the entry of  
7 smaller practices, very important here. They can  
8 do this and they can save money, too.

9 And an ongoing, what I would real  
10 quality improvement.

11 And next? Coming towards the end.

12 So, what about quality improvement?

13 You know, there's really zero point in  
14 measuring and monitoring quality of care unless,  
15 on the practice side, there's some incentive and  
16 some ability to actually do process improvement.

17 I think -- I don't see that terribly  
18 often in my practice or in a lot of practices,  
19 and I coordinate a learning collaborative of over  
20 90 home-based primary care practices from around  
21 the country.

22 This is, you know, not just check box,  
23 checking the box quality.

24 And next, a major culture issue in  
25 terms of this, I would submit that there is  
26 somewhat a facility-based care hegemony with

1 little or no recognition for non-facility-based  
2 care.

3 So, in the context of quality, the  
4 recently implemented complex care codes, did not  
5 include home-based visit codes in there.

6 You know, those providers cannot  
7 access those codes.

8 We did some work a few years ago  
9 looking at the MIPS<sup>31</sup> measures. Fifty percent of  
10 MIPS measures applicable to this population do  
11 not include home-based visit codes in their  
12 denominators. That's just absolutely absurd.

13 Next. So, some key takeaways, I think  
14 it's my last slide. It's a very complicated  
15 population. Really need to clarify the North  
16 Star of this type of work. There are some signals  
17 from existing programs, I would say especially  
18 Independence at Home.

19 I think creating high-level  
20 requirements for key structures and processes and  
21 having a few key outcome measures is the way to  
22 go. I would keep away from disease-specific  
23 outcomes.

24 I agree with Jason on explicit  
25 financial incentives and methodologically

---

31 Merit-based Incentive Payment System

1 appropriate evaluation.

2 And the need to recognize non-facility  
3 settings for this kind of work because that's  
4 where a lot of the care happens.

5 And I think I'm done.

6 DR. MILLS: Outstanding, thank you so  
7 much, Bruce.

8 We will turn next to Diane Meier,  
9 Founder of the Center to Advance Palliative Care.  
10 Please take it away.

11 Diane, I believe you're on mute.

12 DR. MEIER: There we go, I'm unmuted  
13 now.

14 Thanks, thanks very much. And I  
15 appreciate the opportunity to present.

16 I was asked to speak about best  
17 practices for integrating and incentivizing  
18 palliative care and hospice in population-based  
19 total cost of care models.

20 Next slide, please. So, I just want  
21 to remind everybody what the definition, and this  
22 is a CMS definition, of palliative care is, it is  
23 patient- and family-centered care that optimizes  
24 quality of life by anticipating, preventing, and  
25 treating suffering.

26 It is delivered throughout the

1 continuum of an illness, which may be decades in  
2 length.

3 And involves addressing physical,  
4 intellectual, emotional, social, and spiritual  
5 needs.

6 And in this sign wave graphic you see  
7 underneath, from the point of diagnosis through  
8 many, many years of treatment, patients need and  
9 deserve simultaneous access to both palliative  
10 care focused on their quality of life, their  
11 function, their social needs, as well as best  
12 available disease-directed treatments at the same  
13 time.

14 And you note in the green arrow on the  
15 right, hospice really is not relevant until  
16 people are no longer benefitting from disease-  
17 directed therapies.

18 And let me point out to you that  
19 disease-directed therapies are both life  
20 prolonging and often they are palliative.

21 So, treatment of heart failure  
22 relieves symptoms.

23 Treatment of COPD relieves symptoms.

24 Dialysis relieves symptoms.

25 Cancer care improves quality of life.

26 There is no claim distinction between

1 quality of life-focused treatment and disease-  
2 directed therapies.

3 And disease-directed therapies are  
4 often helpful and effective, very long into a  
5 disease course.

6 Next slide, please. So, who are these  
7 patients? Similar to what Bruce said, they're  
8 hugely heterogeneous. They have more than six to  
9 eight simultaneous chronic conditions. They see  
10 a median of eight to 11 different physicians per  
11 patient per year. They have a high level of  
12 functional and cognitive impairment.

13 They have a high burden of symptom  
14 distress, not only pain, but many other symptoms  
15 as well. They undergo multiple transitions in  
16 settings. Their caregivers are exhausted and  
17 overwhelmed.

18 They end up using 911 to manage  
19 symptoms and caregiver exhaustion after 5:00 p.m.  
20 and on weekends because, really, there's no one  
21 else to call other than 911. If you call your  
22 doctor, you get a tape that says, if this is an  
23 emergency, hang up now and call 911.

24 And they're expensive because they are  
25 really sick. They are really complex. They need  
26 care from a lot of specialists and, as Bruce said,

1 much of the spending is, in fact, not  
2 preventable.

3 Next slide, please. Just want to make  
4 the point to you that unpredictable, unplanned ED  
5 visits are largely due to a symptom.

6 Sometimes, they're due to falls or  
7 trauma, but a large number of them are due to  
8 pain, shortness of breath, dizziness, fatigue,  
9 inability to manage one's own activities of daily  
10 living.

11 And just between 2012 and 2019, there  
12 was a 100 percent increase in the number of  
13 patients with any diagnosis visiting an emergency  
14 department because of pain.

15 Next slide, please. This is a patient  
16 that I met 12 years ago and I'm still caring for.  
17 Her name is Debbie.

18 Next slide, please. You can see she  
19 is a hairdresser. We met her 12 years ago when  
20 she had been in the ED four times for pain crises  
21 related to the consequences of her bone marrow  
22 transplant for multiple myeloma.

23 When we met her, she was in disabling  
24 pain, had depression, functional impairment,  
25 inability to work.

26 She was the sole support of her



1 daughter and granddaughter.

2 Lots of suffering and family distress.

3 She had to call 911 many times for  
4 pain crises.

5 Was accused of being a drug seeker on  
6 more than one occasion by colleagues in the  
7 Emergency Department.

8 And finally, on the fourth ED visit,  
9 someone in the ED contacted palliative care.

10 We've been taking care of her side by  
11 side with her hematologist for the last 12 years.

12 Her pain is hard to control, but it is  
13 controlled. She has very complex pain syndromes.

14 She's been able to return to work.

15 She reaches us 24/7. There's a 24/7  
16 palliative care phone number. Someone who can see  
17 her chart answers the phone.

18 She's -- basically, she sees  
19 hematology every six weeks. And we see her on  
20 the same day in a different building.

21 She gets a lot of support from our  
22 interdisciplinary team, especially our chaplain.

23 And she has not once been using 911 or  
24 in the ED since we met her. And she is not dying.

25 Next slide, please. So, lots of data,  
26 I won't exposure you to all of it, but the reason

1 palliative care saves money is not because we are  
2 rationing, it is not because we are withholding  
3 needed care, it is because we are meeting the  
4 needs of a very complex, seriously ill, multi-  
5 morbid population in the community.

6           So, if we're managing symptoms, if  
7 we're paying attention to family needs, if we're  
8 communicating with all of the eight, nine, 10  
9 other doctors, we're often the quarterback for  
10 these patients, costs go down.

11           Not because we're trying to reduce  
12 costs, but because we're trying to improve  
13 quality.

14           So, you can't get the cost reduction  
15 if you're not delivering the quality.

16           And next slide, please. And the  
17 structural requirements similar to what Bruce  
18 mentioned and payers can create structural  
19 requirements and should.

20           You have to have an interdisciplinary  
21 team that, at a minimum, includes somebody  
22 trained and certified who is a prescriber in  
23 palliative care and a clinician who can provide  
24 psychosocial and spiritual care, a social worker,  
25 a chaplain are essential to the care of these  
26 patients and their families.

1           The clinical team has to be reachable  
2 by phone 24/7. And that means reachable by phone  
3 within 15 to 20 minutes at the longest.

4           If a patient is at home and can't  
5 breathe and no one calls back, the family  
6 member's going to call 911.

7           So, timely responsiveness is  
8 essential.

9           Next slide, please. So, this is a  
10 publication from the organization that I work  
11 for, the Center to Advance Palliative Care, that  
12 identifies best practices for integrating  
13 palliative care into population models.

14           And it's the usual series of steps,  
15 systematic, proactive identification of the  
16 highest-risk people.

17           Care management assessment of those  
18 that are identified for symptoms, for functional  
19 needs, and for caregiver needs.

20           Communication with the other eight,  
21 nine, or 10 treating physicians about these  
22 issues or referral to specialty palliative care.

23           Specialty palliative care dosed. So,  
24 we still take care of Debbie 12 years later, even  
25 though she hasn't needed to come back to the  
26 hospital. And I think that's why she hasn't

1 needed to come back to the hospital.

2 And, again, 24/7 meaningful response.

3 Next slide, please. So, these are the  
4 risk factors in my hospital, the Mount Sinai  
5 Health System, a multi-billion dollar system.

6 We do not routinely screen for these  
7 very high-risk patients and identify them, either  
8 on the outpatient side or on admission to the ED.

9 If -- they have to be lucky, I would  
10 say, to get seen and then, referred to a  
11 palliative care team who will follow them in the  
12 community. It's not systematized.

13 But it can be systematized, and I will  
14 tell you that United Healthcare, through its  
15 subsidiary, Optum and its subsidiary, Landmark,  
16 is doing a really good job at identifying Mount  
17 Sinai's highest-risk, highest-cost patients.

18 And they call us and say, they're  
19 taking care of our patient who they identified  
20 through their, basically, their machine learning.  
21 But health systems are not incentivized to do  
22 this.

23 Next slide, please. As everyone has  
24 said, explicit incentives are clearly necessary.  
25 We've been experimenting with value-based payment  
26 for over 10 years. They have not changed the

1 behavior of clinicians.

2 So, we need two things. We need  
3 meaningful financial incentives that actually  
4 change behavior and explicit requirements, not -  
5 - we can't say, well, because of value-based  
6 payment, everyone's going to start paying  
7 attention to palliative care. That did not  
8 happen.

9 Next slide. Here's just a few  
10 examples of financial incentives working.

11 The example for hospitals, Elevance,  
12 which used to be Anthem, palliative care had a  
13 quality health improvement measure.

14 Network hospitals received an  
15 explicit financial bonus for having a palliative  
16 care policy, having standardized patient  
17 identification algorithms, having an  
18 interdisciplinary team.

19 They required at least two of the  
20 relevant disciplines in palliative care and  
21 mandatory annual all staff training. And those  
22 have both been sustained and been successful.

23 Highmark in Pennsylvania, a Blue Cross  
24 Blue Shield plan, provides network hospitals a  
25 financial bonus if more than half of enrollees  
26 that Highmark identifies using its enrollees

1 receive palliative care services during the 30-  
2 day episode. That's also been successful and  
3 sustained.

4 Next slide, please. Here's examples  
5 of financial incentives working in the community.

6 Cambia in four states in the Pacific  
7 Northwest, Blue Cross Blue Shield plan, provides  
8 a financial bonus for network primary care  
9 providers to have goals of care conversations  
10 with enrollees and for referral to palliative  
11 care services. So, they're explicitly paying  
12 extra for referring to palliative care and people  
13 who screen in.

14 Highmark, Blue Cross Blue Shield,  
15 provides a financial incentive for primary care  
16 providers if they refer to what's called Enhanced  
17 Community Care Management, which is really sort  
18 of like a cross between Independence at Home and  
19 home-based palliative care. And they get  
20 explicitly paid more if they identify high-risk  
21 enrollees and refer them. And this has shown a  
22 consistent reduction in total cost of care.

23 HealthFirst, which is our major  
24 Medicaid and duals managed care plan here in New  
25 York, network ACOs received additional payment  
26 from HealthFirst for a 90-day episode of home-

1 based palliative care services for patients who  
2 screened in using the algorithm.

3 Next slide, please. So, these are the  
4 options. I think we're essentially a choir here  
5 or a broken record.

6 We need to require hospitals and  
7 systems participating in population-based models  
8 to attest to having a palliative care policy, a  
9 patient ID process, an interdisciplinary team,  
10 and all staff training.

11 Total cost of care model participants  
12 should be required to report regularly on the  
13 number and percent of high-risk patients  
14 receiving specialty palliative care.

15 We should financially incentivize the  
16 delivery of palliative care through a care  
17 management fee or a quality incentive without  
18 which hospitals and health systems will not  
19 provide the necessary resources to ensure  
20 palliative care capacity.

21 We need a CPT<sup>32</sup> G-code, because right  
22 now, we cannot count who is getting palliative  
23 care and who is not through coding.

24 And we should use patient-reported  
25 outcomes as quality measures. This is a very

---

32 Current Payment Terminology

1 sick and complex population.

2           Knowing that patients felt heard and  
3 understood by their team and receive the desired  
4 -- the help they desired for their pain are really  
5 relevant to this patient population. And these  
6 are both NQF<sup>33</sup> endorsed measures and have not yet  
7 been integrated into CMS programs.

8           Next slide, please. For hospice  
9 integration, I think we already know from the  
10 early work with the Medicare hospice integration  
11 model, hospice referral should not require  
12 terminating other services.

13           So, that's called concurrent or  
14 transitional hospice. And this is a major equity  
15 issue, particularly in vulnerable communities.  
16 And treating clinicians should be incentivized to  
17 continue to care for their patient.

18           One of the major barriers to referral  
19 to PACE, for example, was the early requirement  
20 that patients had to give up their prior  
21 relationships with clinicians, people, often,  
22 they've been seeing for years. And because of  
23 that requirement, many people refused to go into  
24 PACE.

25           Those PACE programs that got a waiver



1 and enabled patients to continue seeing their  
2 regular docs and incentivized that were much more  
3 successful. The same should be done in hospice.

4 Most of my colleagues, once the  
5 patient is referred to hospice, they never speak  
6 to them again. And that is a huge sense of  
7 abandonment and blow not only to the patient and  
8 family, but also to those clinicians.

9 And then, last, and again, and again,  
10 24/7 meaningful clinical response that is  
11 incentivized.

12 Next slide, please. And these are  
13 three models of concurrent hospice care models.

14 First is the Medicare Care Choices  
15 Model which showed 14 percent lower spending,  
16 longer hospice length of stay, more days at home,  
17 and much better inclusion of under-represented  
18 groups.

19 The VA<sup>34</sup> Comprehensive End-of-Life  
20 Care Initiative, this was paid for through the VA  
21 budget.

22 These patients were much more likely  
23 to die on hospice and less likely to receive  
24 hospital and intensive care in the last several  
25 months of life.

1           And at University of Pittsburgh  
2 Medical Center in a concurrent hospice and  
3 dialysis program, this was actually an  
4 individually negotiated payment rate between the  
5 local hospice and the dialysis center and which  
6 enabled the hospice to pay for the dialysis, much  
7 longer hospice length of stay, much higher use of  
8 hospice.

9           And most patients, when experiencing  
10 life at home with hospice, made the decision to  
11 discontinue dialysis, not because they were  
12 forced to discontinue it, but because they were  
13 able to make that choice later.

14           Next slide, please. So, this is my  
15 last slide, reiterating what lots of people have  
16 said before. One is that you need to explicitly  
17 require that these models are there, are present,  
18 and that there's access to them, and that they  
19 meet quality standards. And financial incentives  
20 need to be real, meaningful, and timely.

21           Thank you.

22           DR. MILLS: Thank you so much, Diane.  
23 I greatly appreciate your all's experience and  
24 perspectives shared with us. I think we've now  
25 got right at 30 minutes for question and answer  
26 for the Committee members present to pose

1 additional questions. I will look for a few  
2 questions that come up. Okay, let's start with  
3 Walter.

4 DR. LIN: I wanted to go ahead and  
5 thank our panelists for just a really rich  
6 discussion. And in many ways I feel like this  
7 panel is one of the most important ones  
8 throughout our two-day meeting because PTAC is  
9 all about payment models and how payment models  
10 can better the delivery of care and improve  
11 outcomes.

12 And we're focused on this population  
13 of the top 5 percent, to Bruce's point, for the  
14 reason that they account for such a big share of  
15 Medicare spending. Over 40 percent of Medicare  
16 spending is from this population.

17 And I was actually a bit surprised to  
18 hear that only, according to the study that Bruce  
19 cited from Harvard, 10 to 15 percent of that  
20 spending is preventable. So two questions there.  
21 One, I'm curious if, Bruce, that's been your  
22 experience as well, and maybe Diane and others  
23 can speak to that.

24 But I'm also very curious to hear from  
25 Jason as well. What kind of savings are your  
26 practices in long-term care ACO achieving? Kind

1 of, you know, you won't have to give us exact  
2 numbers, but just a general range. Is 10 to 15  
3 percent preventable with kind of better clinical  
4 models, or is it greater, is it less?

5 MR. FEUERMAN: That's for me, right,  
6 Dr. Lin?

7 DR. LIN: Yeah, it's for actually the  
8 whole panel, but definitely you as well.

9 MR. FEUERMAN: Well, I'll go then. So  
10 we do, as you know, it's the adoption of the  
11 program, the adoption of the data. And once the  
12 clinician really grabs on and starts to not only  
13 question the data, but engage with the data, it  
14 does result in a reengagement type with the  
15 patient that does create a meaningful outcomes.

16 In general, in that 10 percent range,  
17 you know, plus or minus. But it is within that  
18 range, and it is meaningful for very high-cost  
19 patients.

20 DR. LEFF: Yeah, I would just add, Dr.  
21 Lin, you know, the notion of 5 to 10 percent  
22 preventable, that's for the overall, right? So,  
23 you know, a small proportion account for a lot of  
24 the cost, and a very high proportion of Medicare  
25 beneficiaries account for a very small proportion  
26 of the cost.

1           I think last time I looked at that, 50  
2 percent of Medicare beneficiaries actually  
3 account for 5 percent or somewhere around that  
4 percent of the cost. So, you know, if you can  
5 implement -- you know, so it's kind of the Willie  
6 Sutton thing, right?

7           You know, why do you rob banks? It's  
8 because that's where the money is. If you can  
9 only save money, as I said, on people who cost  
10 money and who have preventable costs. So frail  
11 elderly is not the only place to find preventable  
12 costs, but it accounts for a big a big chunk of  
13 that.

14           And those are the kinds of patients  
15 that, you know, Diane and I care for every single  
16 day. I would say that even in that context, you  
17 know, there are clearly examples where people are  
18 doing good clinical practice to keep those costs  
19 down.

20           But I don't know that that's  
21 ubiquitous. And, you know, and I would say to  
22 the extent, you know, building on Jason's comment  
23 around having data to actually then do something  
24 with it, that ethic, that deep cultural DNA of  
25 taking data, doing something with it to improve  
26 care processes and reduce costs, I don't think

1 that's deeply embedded throughout much of health  
2 care either.

3 I think it's still much a, you know,  
4 an eat what you kill kind of atmosphere. And  
5 because financial incentives are often not  
6 tightly linked to perform -- you know, to getting  
7 a performance bonus, you know, I think providers  
8 generally say, why bother if I'm going to get an  
9 extra, you know, .5 percent on my own income or  
10 whatever measure it is.

11 It's easier not to then change  
12 process. Why bother? And I think that's a big  
13 part of it. Sorry to be cynical, but that's -- I  
14 think that's the water we're swimming in to some  
15 extent.

16 DR. MEIER: It's also what our  
17 employers want us to do.

18 DR. LEFF: Exactly.

19 DR. MEIER: They want us to do  
20 throughput and billing. And our health systems  
21 are not incentivized to manage total cost of  
22 care. To the contrary, we're constantly being  
23 beaten about the head to see more patients  
24 faster.

25 You can't -- you can't do that with  
26 these patients. I mean, I spent an entire day

1 yesterday getting a patient of mine who broke her  
2 ankle and refused to go to the ED because the ED  
3 is a nightmare. It was a full day of my effort  
4 to get her seen by an orthopedist and into the  
5 OR<sup>35</sup> today.

6 That's a very expensive cost. Most  
7 patients don't have doctors willing to do that or  
8 able to do that or stubborn enough to do that.  
9 You know, it was like a fight with the system all  
10 day long.

11 Those are those are the things that  
12 we're asking clinicians to do with zero reward.  
13 And of course, most don't do it because they have  
14 to get home to their families. They have 60  
15 people in the waiting room. The financial  
16 incentives need to be completely flipped to  
17 change that.

18 We should be incentivizing care of  
19 these very complex, multimorbid patients by  
20 paying those doctors way more than we pay.  
21 Orthopedic surgeons.

22 DR. LEFF: I mean, it's another thing  
23 we haven't really talked about today. Sorry to  
24 talk over you, Diane, but we haven't talked about  
25 issues related to workforce in this space. So

---

35 Operating room

1 geriatrician, palliative medicine doctors, ain't  
2 too many of us, right?

3 Geriatrics is the one field you go  
4 into only to take a pay cut after your fellowship.  
5 So, you know, it's still astonishing to me, for  
6 instance, that special needs plans do not have a  
7 requirement for having geriatricians leading  
8 those programs. That to me is insane and absurd.

9 You know, you like having an oncology  
10 service not headed by an oncologist, like, you  
11 know, how would you do that? So, you know, we  
12 haven't really talked about the workforce issue,  
13 but these kinds of payment incentives, were they  
14 implemented, were they taken up could also have  
15 an effect -- the kind of effect on workforce that  
16 I think we need to have moving forward.

17 DR. MILLS: Anyone else want to add to  
18 that? Okay. I'm sorry. Go ahead.

19 DR. FELDSTEIN: Lee, I was trying to  
20 raise my hand. And I guess it didn't come up.

21 DR. MILLS: Go ahead, Jay.

22 DR. FELDSTEIN: I really appreciate  
23 the candor that is being expressed today. I  
24 almost feel like the health system itself needs  
25 palliative care. That's kind of where we are.  
26 And if you think about, you know, Bruce, to your



1 point about the workforce, I mean, a lot of  
2 hospitals got rid of the geriatric fellowships  
3 because it cost them money.

4 So that's part of the issue. And if  
5 you look at the nature of palliative care and,  
6 you know, how do we get there is because your  
7 everyday general internist didn't want to spend  
8 the time taking care of these patients. That's  
9 the reality.

10 And it takes a special type of  
11 physician to go into geriatrics and palliative  
12 care who's willing to spend the time. And that's  
13 a systemic issue with our profession. And, you  
14 know, somehow we've got to get to that.

15 But, you know, how much is enough to  
16 change behavior? And I'll put that out to all of  
17 you, because part of the problem is if the system  
18 gets the reward, a lot of the times it doesn't  
19 make it down to the practicing physician. Like  
20 Diane, like you said, they just want more  
21 throughput. You know, so, you know, how do we  
22 work it in so that the provider gets the reward  
23 for the incentive?

24 DR. LEFF: Yeah, I would say it's not  
25 only an amount. I think also it's the milieu,  
26 you know, the work milieu. I think that, you

1 know, if total cost of care models created a world  
2 where volume was less valued, and where quality  
3 improvement was part of part of the core DNA of  
4 practice, you know, you would keep physicians in  
5 the workforce longer. They would be able to spend  
6 more time. It's not just a dollar.

7 I don't think it's simply a dollar  
8 amount, although that's, that's important, no  
9 doubt. But I think if you ask many physicians  
10 who come to work each day and actually want to do  
11 good, want to do good each day, they would, you  
12 know, if you said here, here's an algorithm, if  
13 we improved your working conditions this much and  
14 raise your pay that much, would that be enough?  
15 And I think you would, you'd see both sides being,  
16 both sides of that equation being valued.

17 You know, no one comes to work wanting  
18 to be a crappy doctor. No one comes to work not  
19 wanting to try and do what Diane did yesterday  
20 with her lady with the ankle fracture. I think  
21 we all would like to be able to do that. And  
22 it's actually kind of a miracle that Diane even  
23 having spent all that time was successful in  
24 getting that person to the OR.

25 You know, I've spent days doing that  
26 kind of stuff and you know what, you fail because

1 the system is just too unyielding. So, you know,  
2 my bet is that Jason has some analyses that could  
3 tell us whether that number is 5 percent, 10  
4 percent, 15 percent, but it does need to be  
5 meaningful.

6 I think there are some clues from the  
7 Independence at Home Demonstration, as flawed as  
8 it was in terms of the money flow, I think there  
9 are some clues probably from, you know, a high-  
10 needs reach that will help people think about  
11 that as well.

12 And there are probably some clues  
13 from, you know, organizations like Kaiser and  
14 Landmark and Prospero where they're doing this in  
15 more of a capitated environment if they will  
16 share their data with you.

17 DR. LIN: I asked yesterday, they  
18 didn't.

19 DR. LEFF: You know, and that's not  
20 surprising either, but I think there are ways to  
21 get signals on that, but it's not simply dollars.  
22 I think it's a bit more-it's potentially, and I  
23 think that that's actually a good thing cause,  
24 you know, it's not just the money, it's the system  
25 as well. It's delivery.

26 DR. MILLS: Wonderful. Thank you for

1 that, Jay and team. We'll pass it to Jennifer.

2 DR. WILER: I completely agree with  
3 Walter. This has been a very important  
4 discussion, and I have to, before I make my  
5 comments, acknowledge pediatricians and  
6 psychiatrists would say they're actually in that  
7 bucket also.

8 I think, gosh, there's so much to say,  
9 but I'm going to start with a comment around the  
10 business case is always harder. It's considered  
11 soft business case to our CFOs around cost  
12 mitigation versus revenue generation, and I think  
13 that plays out here a lot.

14 And I think the specific examples in  
15 the last presentation are very helpful about what  
16 currently exists around financial incentives for  
17 hospitals and communities in the private payer  
18 space.

19 But I'm actually come back to where we  
20 started and that's in the workforce question.  
21 There's clear evidence around what exceptional  
22 care looks like and your examples around  
23 financial incentives to try to nudge us to  
24 deliver that care is helpful.

25 But the long game of financial  
26 incentives is workforce expertise. We're talking

1 about how do we create short-term incentives that  
2 meaningfully change care delivery where we've got  
3 a workforce gap. So can you talk through that?  
4 What does that look like in the next 12 to 36  
5 months of meaningful incentives that can also  
6 change the system to deliver excellent care  
7 knowing that there's this workforce gap?

8 We can't have in my own practice -- we  
9 don't have enough palliative care doctors to do  
10 this tomorrow. So is there a primary care-based  
11 model where this is successful? Can you talk to  
12 us about how do we acknowledge that workforce gap  
13 and align that with financial incentives?

14 DR. LEFF: Jennifer, it's funny when  
15 you started the sentence 12 to 36, the next word  
16 I expected to come out of your mouth was years  
17 and not months so.

18 DR. WILER: In full disclosure, I am  
19 an emergency physician.

20 DR. LEFF: There you go. There you  
21 go. So I would agree that I think there are ways  
22 a few ways to look at workforce. Number one is  
23 to think about workforce in terms of worker bees  
24 and we need worker bees, and I'm a frontline  
25 worker bee in geriatric primary care and home-  
26 based primary care.

1           I think we also need to think about  
2 workforce in terms of leaders and leadership who  
3 can create systems and, you know, embed  
4 educational programs to bring along primary care  
5 physicians of all types, whether it's  
6 pediatricians or internal medicine docs or  
7 internal medicine, mid-level practitioners or  
8 geriatric mid-levels.

9           I think there are all sorts of ways to  
10 start to inject knowledge, attitudes, and skills  
11 that need to be brought to the bedside over the  
12 shorter term.

13           I do think also that, you know, were  
14 payment incentives under total cost of care  
15 implemented such that it actually motivated  
16 systems to move forward, those systems might  
17 start to value the kinds of leadership that  
18 pediatric leaders and geriatrician leaders and  
19 palliative medicine leaders could provide because  
20 we understand not, not exclusively, but we  
21 understand settings of care.

22           We understand how to think about  
23 functional status. We understand how to think  
24 about social determinants of health, I think in  
25 a slightly more enhanced way than some other  
26 specialties. And I think you then can create a

1 leadership core that could help move systems  
2 forward.

3 And I think to your point about  
4 systems valuing revenue, just one example, we  
5 took our home-based primary care program. It was  
6 sitting in our academic division, losing a  
7 quarter million dollars a year, and we proposed  
8 to bring it into the system, over to the health  
9 system, where in theory, economic incentives  
10 would align.

11 That movement took, I would say about  
12 80 meetings. And it wasn't until meeting number  
13 30 that the lead, the financial folks who had an  
14 Excel sheet that evaluated any new thing, that  
15 Excel sheet did not have a row for projected  
16 savings on it. So that's like a little widget in  
17 the system that doesn't even think about saving.

18 So we finally got them to add that  
19 row. And they saw the potential value in the  
20 model. And then they finally took it on somewhat  
21 begrudgingly, I would say. But, you know, those  
22 are the kinds of like hardwired things within  
23 systems that actually prevent innovation, prevent  
24 this kind of development. And, and these are,  
25 you know -- it's not trivial. It's really not  
26 trivial.

1 DR. MEIER: We eliminated our  
2 home-based primary care practice.

3 DR. LEFF: And that was the premier  
4 home-based, academic home-based, primary care  
5 practice in the United States of America.

6 DR. MEIER: Right. Because they  
7 weren't, they couldn't use cost avoidance as  
8 a -- as an argument. And they were saving a lot  
9 of money, but they wanted that fee-for-service.  
10 They wanted those heads in beds.

11 DR. SCHACKMAN: So in terms of gaps in  
12 a very sort of tangible way in our, in our  
13 project, we relied on tele-mentoring to enhance  
14 the abilities of primary care physicians to  
15 basically do something new and different. And we  
16 were fortunate that there was a technology out  
17 there that was not being adapted that adopted,  
18 and this was a way to make that happen.

19 And there was no -- as we were putting  
20 the payment system together, there was no payment  
21 mechanism to cover basically the time of the  
22 provider in the tele-mentoring session rather  
23 than seeing a patient. So we calculated what  
24 that was, what that lost revenue was basically.

25 And then suggested that there were,  
26 there could be a quality bonus, which was allowed



1 by the mechanism, or could be allowed by the  
2 mechanism, and that would be specifically  
3 targeted to fill that hole so that from the  
4 organization's point of view, the revenue was not  
5 truly lost.

6 And the providers were motivated to  
7 participate because they were -- their skills  
8 were enhanced and they were able to see patients  
9 they hadn't been able to see before and patients  
10 who were not going to leave primary care to go  
11 see a specialist.

12 So it's a -- it's a small example,  
13 right? It's very targeted, which is probably why  
14 it was successful because it was very focused on  
15 a specific outcome in a specific complication  
16 that these complex patients had. But also, you  
17 know, we tried to figure out a way to get it paid  
18 for so that it didn't look like a loss.

19 DR. MILLS: Wonderful. Thank you for  
20 that rich answer. I'm going to put myself into  
21 the queue and just, Bruce, you got me thinking  
22 this way, but I think it's an appropriate  
23 question that everyone will have perspectives on,  
24 which is pulling in strains of both lessons  
25 learned about what's worked in the literature and  
26 in your professional lives and in aspects of data

1 sources and measures.

2 I'll ask you for a minute to cone down on  
3 identifying, so which of all these complex  
4 patients have preventable costs? You know, in  
5 full disclosure, until very recently I was also  
6 boarded in hospice and palliative care, and for  
7 my 20-year private practice career in rural  
8 Kansas, I did hospice and palliative home visits  
9 routinely as part of my practice, and I could  
10 identify them when they walked past me somewhere  
11 in Walmart and I said, that's a palliative care  
12 patient, right?

13 Unfortunately, similar to the  
14 definition of art, you can't build programs and  
15 metrics and incentives around, I know it when I  
16 see it. So if you will share your learnings about  
17 how you identify these patients, is it a set of  
18 characteristics, diagnoses, events in a claim  
19 feed, or some risk-predictive methodology that  
20 has seemed effective?

21 DR. LEFF: Yeah, I think it's a  
22 combination of things. You know, part of the  
23 issue is dealing with data that you can actually  
24 get your hands on, right? So claims tend to be  
25 the most easy to get at, and even those are not  
26 terribly easy to always get.

1           And recall my slide where, you know,  
2           a lot of folks are not getting -- you know, if  
3           you lack access to care, your experience may not  
4           be showing up in claims or maybe not be showing  
5           up fully in claims.

6           You know, in the example of  
7           Independence at Home, it was the presence of two  
8           or more of a specific set of, I think it was 11  
9           or 12 chronic conditions that are particularly  
10          costly, and experiencing health service  
11          utilization in the form of a hospitalization, and  
12          having experienced an episode of skilled home  
13          health care or time in a skilled nursing  
14          facility.

15          And the key issue there was, you know,  
16          it's not just number of chronic illnesses, but  
17          functional status, cognitive status, are critical  
18          to this kind of conversation. If I have five  
19          chronic conditions, I might cost X. If I have  
20          five chronic conditions and functional  
21          impairment, I'm going to cost two to three times  
22          X.

23          And the challenge is we do not capture  
24          functional status well unless you are getting  
25          into a skilled home health care episode where a  
26          skilled home health care agency has to fill out

1 the OASIS<sup>36</sup> assessment, which takes a few hours  
2 for a skilled home health agency to fill out, or  
3 if they get to a skilled nursing facility, and  
4 then the minimum data set questions need to be  
5 filled out so you actually get a signal on  
6 function.

7           So I think critical to this effort,  
8 and I think it was in a slide that ultimately had  
9 to be pulled out, you know, some mandate for a  
10 standard way to assess functional assessment and  
11 get it done on people is critical to  
12 understanding and identifying this population.

13           Independence at Home did not do badly.  
14 I think they did pretty well with that. There are  
15 also now claims-based ways to assess frailty, not  
16 perfect, but reasonable, and that is also  
17 something that can be used.

18           I can tell you we're just finishing  
19 our project with a large integrated payer  
20 provider with whom we were able to add questions  
21 on whether the person was homebound into their  
22 annual in-home wellness assessment.

23           The prevalence of people who are  
24 homebound is about 20 percent in the Medicare  
25 population. If you look at people who never get

1 out of their house and people who need help or  
2 assistance or have difficulty getting out of the  
3 house, being homebound is an extremely powerful  
4 indicator of utilization, mortality, and just  
5 lousy care experience.

6 So there are these other things, and,  
7 you know, the system has to decide how they're  
8 going to collect it. I don't think perfect needs  
9 to be the enemy of a reasonable signal, but how  
10 to incorporate that into payment and performance  
11 payment, it's complicated, but it has been sort  
12 of done before.

13 DR. MEIER: But I think the key point  
14 to remember is that this information is not in  
15 claims.

16 DR. LEFF: Exactly.

17 DR. MEIER: And we use claims. We use  
18 utilization and ICD-10 codes to identify the  
19 high-risk population. So we're looking for our  
20 glasses under the street light because the light  
21 is better when we dropped them at the back of the  
22 parking lot. So, you know, we do what's easy,  
23 not what's accurate, and so we're missing the  
24 boat.

25 And if we started to mandate recording  
26 of cognitive status and functional status and

1 caregiver stress and home boundedness lives  
2 alone, even just knowing someone lives alone is  
3 a key variable. It's not anywhere in claims, and  
4 it's not in the electronic health record either.  
5 So all the key factors, many of which are social  
6 and behavioral, are invisible to the health  
7 system and to CMS.

8 DR. MILLS: Anyone else?

9 CO-CHAIR HARDIN: I'm just going to  
10 add an accent to that point, Lee.

11 DR. MILLS: Sure. We've got just a few  
12 minutes left.

13 CO-CHAIR HARDIN: I think that is so  
14 important. I remember talking with Jose Figueroa  
15 when he ran that data in 2017, and the integration  
16 of health-related social needs, and even the  
17 behavioral health diagnosis wasn't in that  
18 report. And in my work, I obviously have a biased  
19 opinion.

20 I spend a lot of time in that space.  
21 But I think your study with the NLP<sup>37</sup> pulling out  
22 the social support aspects and just the  
23 importance of that, it's another actionable area  
24 that we don't have line of sight into and maybe  
25 one of the most promising areas to look at next.

---

37 Natural language processing

1 DR. MEIER: Your data on the costliest  
2 5 percent highlighted, you know, Area Deprivation  
3 Index as a major predictor. And, you know, we're  
4 just not picking that up in our algorithms.

5 DR. MILLS: Okay. We're down to just  
6 about two or three minutes, but I think it's just  
7 time for 45 seconds or so per person. What's the  
8 one final summary thought you would leave us with  
9 as we close down our listening panel for today?

10 MR. FEUERMAN: I'll jump in, Jason  
11 Feuerman, again. I think that these financial  
12 incentives need to be structured appropriately  
13 but also need to be more mandated by CMS so it  
14 does get into the hands of providers. Because to  
15 several other people's points today, a lot of  
16 times it doesn't, and they're the ones that are  
17 frontlines.

18 And we're never going to change the  
19 paradigm when we think about this from a health  
20 system perspective. You know, the CFO is never  
21 going to find the paid for. And in order to  
22 really bend this cost curve and bend the quality  
23 curve, the providers need to be incented.

24 And so I would urge some level of  
25 mandate. I don't know what that exactly looks  
26 like, but start having that discussion. And

1 whether that's sitting in the Medicare Advantage  
2 or whether it's sitting in fee-for-service or  
3 both, it can bend the cost curve to this point.

4 DR. MILLS: Thank you.

5 Diane?

6 DR. MEIER: I agree. That was really  
7 my major point is, A, these services have to be  
8 required, and they have to meet quality  
9 standards. And if you want to get paid by  
10 Medicare, you must deliver these services.

11 You must demonstrate what fraction of  
12 the high-need population is receiving them, and  
13 they have to be financially incentivized in order  
14 for the institution to allocate the resources.  
15 So requirements.

16 DR. LEFF: I think the only thing that  
17 I would add to that is the notion of trying to  
18 figure out how to link what Jason and Diane just  
19 spoke to to real, true quality improvement,  
20 process improvement efforts, because the systems,  
21 when they hear requirements, will just check a  
22 box and try and collect the money.

23 So I don't know how to thread that  
24 needle, but really linking to true quality  
25 improvement. And as Jason was talking about and  
26 Diane about requirements, the thought that just



1 flashed was, you know, look to the VA. The VA has  
2 done a lot with requirements around certain kinds  
3 of care delivery.

4 So their home-based primary care  
5 services, which they have at each of their  
6 medical centers, they have a mandated membership  
7 on their interdisciplinary team.

8 Their teams are the most robust of any  
9 teams you will see in the country, you know,  
10 including mental health, including chaplain  
11 services, including a whole bunch of things that  
12 most systems outside the VA cannot put on a team,  
13 but they mandate it, and they pay for it.

14 So, you know, there are models outside  
15 of, you know, VA practically invented geriatric  
16 health service delivery. There are some examples  
17 there.

18 DR. MILLS: Wonderful. Last one in  
19 closing.

20 DR. SCHACKMAN: Our thought is maybe  
21 a little bit more hopeful, that there are  
22 examples where targeted focused payments can make  
23 a difference and, you know, don't let the perfect  
24 be the enemy of the good and try to find some of  
25 these successes and design payments for those  
26 specific cases, and then maybe that will help

1 build the momentum towards a bigger system  
2 change.

3 DR. MILLS: All right. Thank you so  
4 much for your rich perspectives and hard-learned  
5 real-world experience and advice. Appreciate it.

6 We're going to be turning now to a  
7 public comment period that you all are each  
8 certainly welcome to stay around and listen to.  
9 And at this time, I'll pitch it back to Dr.  
10 Wiler.

11 DR. LEFF: Sorry, I need to jump off.  
12 Take care.

13 \* **Public Comment Period**

14 DR. WILER: So I'd like to thank all  
15 four of you for joining us this afternoon and for  
16 those of you who are able to stay, we welcome you  
17 to listen to as much of the meeting that we have  
18 remaining.

19 At this time, as Dr. Mills said,  
20 we'll have our public comment period. And if we  
21 don't have any public comments, we'll then move  
22 into Committee discussion. Now I'd like to see if  
23 we've had anyone sign up for public comments. It  
24 looks like we do not.

25 \* **Committee Discussion**

26 So at this point, I'd like to move

1 into the comments from our Committee members. We  
2 will be discussing everything that we've learned  
3 today. As you know, PTAC will submit a report to  
4 the Secretary of HHS<sup>38</sup> with our comments and  
5 recommendations based on this public meeting.

6  
7 Members, you have a document on  
8 potential topics for deliberation tucked into  
9 your binder to help guide the conversation.

10 If you have a comment or question, please flip  
11 your name tent up or raise your hand on Zoom.  
12 Who would like to start?

13 DR. MILLS: Well, such rich  
14 discussion. I took pages and pages of notes, but  
15 I have not had time to fully distill them to a  
16 cogent synthesis. But this will be a little bit  
17 stream of consciousness. So I really appreciated  
18 Jason's hard-won experience about what the nature  
19 of incentives must be to move the needle in the  
20 real world.

21 And that ties in a little bit with  
22 what we heard about encouraging us to have a CFO  
23 or a finance-driven panel to ensure that it  
24 connects all the dots in a complex system. But  
25 the list of characteristics for incentives, they

---

38 Health and Human Services

1 should be meaningful, timely, tied to appropriate  
2 performance metrics, quality.

3 Interestingly, quality is not really  
4 an inducement. Quality ought to be a guardrail  
5 or it's an impairment. It's table stakes. But  
6 that high-quality expectation must be set out  
7 plainly and transparently.

8 He spoke to knowing that there's going  
9 to be consequences any time you incent something  
10 strongly. There are unintended consequences, and  
11 think that through carefully and mitigate in  
12 advance.

13 Spoke to careful applications in  
14 addition to an incentive and what incentives  
15 should be applied. There should be careful  
16 application of penalties. Essentially the flip  
17 side of an incentive, which is interesting.

18 And then spoke to risk adjustment and  
19 incenting providers along a continuum to get more  
20 engagement and more participation. So I thought  
21 that was a really nice list of things we've heard,  
22 frankly, over the last several years as we've  
23 been exploring these topics.

24 I'm particularly struck with Jason's  
25 comments about waking up an ecosystem. And that  
26 speaks in my experience dealing with individual

1 physicians and data that essentially goes through  
2 the entire stages of grief, and it's not until  
3 you get to acceptance that the ecosystem wakes  
4 up, and they start engaging with data and start  
5 managing the process and so that was powerful.

6 We've heard refrains throughout this  
7 weekend that this complex chronic disease  
8 population essentially definitely bats above  
9 their weight. A small percentage yields a great  
10 amount of cost. And refrains that many programs  
11 or incentives are built around a single disease  
12 construct that don't really work for this  
13 population, just because they're outside the  
14 bounds of any single disease construct. And that  
15 was really powerful.

16 We heard pretty powerfully this  
17 afternoon that for this group of providers, and  
18 palliative care especially, they're begging for  
19 a mandate. I mean, they're asking that  
20 requirements be tied to programs to get paid.  
21 And that was really powerful.

22 And I wondered, you know, with all the  
23 data that we've seen in my personal reading, it  
24 seems like the data may be there, and maybe this  
25 is a -- we can ask for an ASPE research project,  
26 but there may be data supporting palliative care

1 is actually the second domain in addition to  
2 primary care, where simply increasing the supply  
3 leads to improved quality and decreased costs.

4 And I think I sniffed that out a  
5 little bit. In which case a fundamental problem  
6 is that we're not identifying the patients.  
7 Again, everybody agrees you know it when you see  
8 it, but you can't build a program around that.  
9 And then they spoke to the challenges of there's  
10 really no, there's no code.

11 You can't use a specialty designator  
12 because there's not enough palliative care  
13 doctors or geriatricians. You miss a lot. There  
14 may be a cluster of -- you know, from the prior  
15 pilot, a cluster of some diagnoses plus an  
16 incident, like hospitalization, plus a certain  
17 cost threshold. I mean, maybe that can work, but  
18 that gets really complicated and leads to all  
19 kinds of reconciliation issues after the fact.

20 So it made me wonder if there's a path  
21 CMMI could explore about essentially -- you know,  
22 I never wanted to speak in favor of the  
23 proliferation of G codes, but maybe there's  
24 really an opportunity for a single G code  
25 incentive to start upstream with just identify  
26 these patients and there's an incentive for

1 identifying them.

2 Because once you've identified them,  
3 then you can unlock more powerful things about  
4 dramatically lowering costs and improving  
5 outcomes. So that was a thought.

6 DR. WILER: Excellent. Thank you.  
7 Lauran?

8 CO-CHAIR HARDIN: I know you'll be  
9 completely surprised but I'm going to lead with  
10 equity. So we heard a really strong theme about  
11 the importance of really paying attention to  
12 equity in this equation. Related to access,  
13 related to inclusion of people with lived  
14 experience and governance, patient-reported  
15 outcome measures as part of the equation for  
16 measuring quality and the opportunity, feeling  
17 heard and understood being a really key measure.

18 And then really thinking about the  
19 importance of building a system where people have  
20 choice in this transition. So building a system  
21 that has 24-7 access for urgent needs, the  
22 ability to call and get some management support,  
23 caregiver support, and that that 24-7 support is  
24 provided by someone who knows your story and has  
25 access to your complete record.

26 Along with that urgent response in

1 order to get stabilization and outcomes in this  
2 population, it's also important to have explicit  
3 and concurrent care and proactive anticipatory  
4 care, which is new competencies for many people  
5 to teach.

6 Not the way our system works now,  
7 which is we wait till there's a crisis and then  
8 we respond, but really to understand how to  
9 proactively address symptom management, disease  
10 management, and include health-related social  
11 needs and assessing for caregiver and social  
12 support needs as part of that equation.

13 The importance and opportunity to  
14 include peers and non-licensed providers with  
15 lived experience as part of this response offers  
16 promise and was brought up as part of this. And  
17 then really the importance of weaving concurrent  
18 care along with hospice and palliative care so  
19 people really have choice and can transition  
20 along the road with stabilization.

21 So I'll leave it there to leave my  
22 colleagues a little room to comment as well.  
23 Thank you.

24 DR. WILER: Wonderful. Thank you,  
25 Lauran.

26 Other member comments?



1                   Walter.

2                   DR. LIN: It's been just such a great  
3 couple days. And I first want to preface my  
4 comments by, again, thanking the ASPE staff, my  
5 fellow PCDT team members, PTAC members, and NORC  
6 for just organizing a really rich two days of  
7 discussing an extremely important topic. You  
8 know, we are talking about the disproportionate  
9 impact high-cost patients have on Medicare  
10 spending.

11                   You know, the top 5 percent per capita  
12 spends 130,000 dollars a year. That's just mind  
13 boggling to me still. And the good news is that  
14 I think there's a lot that can be done to address  
15 this. There's a lot of opportunity to improve the  
16 care of this very small minority of patients.  
17 But it's going to take some focus and creativity  
18 in care model design.

19                   I had mentioned in my PCDT  
20 presentation that there were a few overriding key  
21 objectives in caring for this population of  
22 patients. And I was happy to hear various experts  
23 validate those over the course of these past two  
24 days.

25                   Those objectives include providing  
26 goals-concordant care. We heard a lot about the

1 importance of goals of care discussions, advanced  
2 care planning discussions. You know, it's hard to  
3 provide goals-concordant care if the provider  
4 doesn't know what the goals of care are of the  
5 patient, as they state.

6 The whole area of measuring  
7 patient-reported outcome measures around symptom  
8 control, pain control, around understanding the  
9 goals of care, I think, was really insightful.

10 Another important objective that we  
11 have for this population of patients is delaying  
12 the progression of chronic disease. And we heard  
13 quite a bit about the importance of intensive  
14 primary care for this population of patients, not  
15 with just any primary care provider who may not  
16 be trained or have the inclination to care for  
17 complex chronic patients with serious illness,  
18 but those with expertise in this area. So  
19 activated PCPs, I think, was the term that was  
20 used to really try to somehow enable their care  
21 of this population through better payment models.

22 A third goal I had mentioned was to  
23 catch acute exacerbations early to enable  
24 outpatient treatment. And we heard both  
25 yesterday and today about the importance of  
26 availability, 24-7 availability to this patient.

1           I think Diane had said you have to  
2 have a good response in 15 minutes, a meaningful  
3 response in 15 minutes. Dr. Smith said yesterday  
4 the same thing. We have to have on-call  
5 meaningful response to encourage these patients  
6 to reach out again and again.

7           And then finally, I had said an  
8 important goal was to reduce treatment burden and  
9 focus on symptom relief. And we heard a ton about  
10 palliative care and hospice care and what that  
11 can do to not only improve quality of care,  
12 provide more goals in coordinate care, but also  
13 improve, also lower costs.

14           So I think it's all kind of coming  
15 together. I was actually -- I'm leaving these  
16 two days a bit more depressed in many ways,  
17 because I feel like it just highlighted how  
18 broken the fee-for-service system is in  
19 addressing the needs of the seriously ill and  
20 complex chronic condition patients that drive so  
21 much of medical spending.

22           Just speaking from my own experience,  
23 we have -- we're the largest independent group of  
24 providers in the greater St. Louis area focused  
25 on the care of seniors living in nursing homes  
26 and assisted living facilities, seriously ill,

1 chronic complex.

2 We would love to hire a palliative  
3 care specialist into our practice, but I can't  
4 make the numbers work. The numbers just don't  
5 pencil out, right? It would for Medicare. I'm  
6 confident that the savings that the specialist  
7 would help generate would more than cover any  
8 cost. But as a practice, though, that just  
9 doesn't pencil out for us, because we are still  
10 in many ways under fee-for-service.

11 And so fee-for-service just really  
12 does not work in terms of payment, appropriate  
13 payment incentives for this population of  
14 patients. And so that is a bit disheartening,  
15 but I will end with hope.

16 I think the future is bright for  
17 palliative care as our system moves more and more  
18 toward a total cost of care environment, because  
19 it's easy to see how accountable entities can  
20 really generate a positive financial return on  
21 palliative care.

22 And more intensive care of the  
23 seriously ill, but it's just going to take some  
24 time to get there, so I'll leave it at that.

25 DR. WILER: Excellent. Thank you.

26 Jay, any comments?

1 DR. FELDSTEIN: Well, I think Walter  
2 did such a great job. We'll let Walter have the  
3 last word today for the last two days. But just  
4 to reinforce what I think we heard from a lot of  
5 people.

6 We're getting to the point where, you  
7 know, and especially as we get move forward on  
8 the glide path, these payment models, if we  
9 really want to see the change to total cost of  
10 care, they're going to have to be mandated. And,  
11 you know, we'll give people long enough runway to  
12 face things in. But if they're going to want to  
13 continue to see Medicare patients, they're going  
14 to have to participate in programs.

15 And, you know, the incentives in the  
16 system are not aligned. That's why hospital CEOs  
17 got rid of the geriatric fellowships. They don't  
18 get paid for cost savings. They get paid for  
19 revenue generation and profit. And they weren't  
20 profit centers.

21 And they closed like half the  
22 geriatric fellowships in the country. And until  
23 we move to a total cost of care, when that's where  
24 they truly add their value, as well as taking  
25 great care of patients, we're not going to get  
26 the change we need.

1           And the other issue, and this gets to  
2           Lauran, you know, in terms of equity, there are  
3           areas in this country and in the city of  
4           Philadelphia where you can't get a home health  
5           care worker to visit somebody who's homebound  
6           because of the neighborhood they live in and it's  
7           not safe.

8           And that gets to a whole other  
9           systemic issue in terms of violence in society.  
10          But that's the reality. You can't -- you know,  
11          you can't get providers to go places because it's  
12          just not safe. So, you know, that just adds to  
13          the equity issue and the care issue.

14          So, you know, it's systemic, like  
15          Walter, like you, some days I get more depressed.  
16          But, you know, I think, you know, if you don't  
17          have hope, why get up in the morning? Right? So,  
18          you know, thanks, everybody, for their  
19          participation and attention. It was a great  
20          couple of days.

21          DR. WILER:     Well said.     Other  
22          Committee member comments?

23          Well, I'll add my own comments, which  
24          this time are brief. You all have done a  
25          wonderful job summarizing just a phenomenal day.  
26          I agree. Thank you so much, Walter, to you and

1 the PCDT team, our NORC colleagues, and staff for  
2 putting together a phenomenal two days.

3 And you grounded us well in focusing  
4 on a specific complicated patient population that  
5 is heterogeneous. But I, too, am going to leave  
6 with a couple of comments around optimism.

7 I think we talk often on this  
8 Committee about identifying the ideal care model  
9 and then layering on top a payment model with  
10 incentives or disincentives to try to ensure that  
11 that ideal care is provided in addition to  
12 essential care being provided.

13 And what I learned over these two days  
14 is this is actually one area where there is a lot  
15 of excellent evidence that tells us expertise,  
16 longitudinal relationships with trust, for which  
17 we can better understand a person's goals and  
18 availability are the secret sauce to immediately  
19 decrease cost and improve quality. And there are  
20 ways with payment to make that possible that we  
21 haven't chosen to prioritize.

22 The other thing I heard is sometimes  
23 we talk about what are the potential low-lying  
24 fruit. And I'm hearing us have an opportunity  
25 because we're tripping over watermelons. And  
26 that's around mandating that certain activities

1 occur, including collection of data elements that  
2 would have an immediate impact in us being able  
3 to identify patients. So, be that a mandatory  
4 assessment around functional status, we kept  
5 hearing over and over, and around, we heard  
6 conversations around being homebound or even  
7 living alone. And I love Freakonomics, and I  
8 think what I heard today are a couple of real  
9 pearls in that space where we could think about  
10 how that might be something that's incented or  
11 made mandatory.

12 With that, before closing, I'd like to  
13 check with our staff team to see if they have any  
14 clarifying questions for us. Audrey, no  
15 questions? All right.

16 \* **Closing Remarks**

17 Again, I want to thank everyone for  
18 participating today, our expert presenters and  
19 panelists, my PTAC colleagues, and those  
20 listening in. We explored many different topics  
21 regarding addressing the needs of patients with  
22 complex chronic conditions or serious illness in  
23 population-based total cost of care models.

24 Again, a special thanks to my  
25 colleagues on PTAC. There was a lot of  
26 information that was packed into these two days,



1 and I so appreciate your active participation and  
2 thoughtful comments.

3 We will continue to gather information  
4 on our theme through a request for input that's  
5 based on the topics that we discussed today.  
6 These will be posted on the ASPE PTAC website and  
7 sent out throughout the PTAC listserv where input  
8 to our questions can be offered by July 8th.

9 The Committee will work to issue a  
10 report to the Secretary with our recommendations  
11 from this public meeting.

12 \* **Adjourn**

13 And with that, I get to do this for  
14 Angelo. Meeting is adjourned.

15 Thank you.

16 (Whereupon, the above-entitled matter  
17 went off the record at 2:52 p.m.)

C E R T I F I C A T E

This is to certify that the foregoing transcript

In the matter of: Public Meeting

Before: PTAC

Date: 06-11-24

Place: Washington, DC

was duly recorded and accurately transcribed under my direction; further, that said transcript is a true and accurate complete record of the proceedings.

  
-----  
Court Reporter

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1716 14TH ST., N.W., STE. 200

WASHINGTON, D.C. 2009-7831