

PHYSICIAN-FOCUSED PAYMENT MODEL TECHNICAL
ADVISORY COMMITTEE (PTAC)

+ + + + +

PUBLIC MEETING

+ + + + +

The Great Hall
The Hubert H. Humphrey Building
200 Independence Avenue, S.W.
Washington, D.C. 20201

+ + + + +

Monday, March 3, 2025

PTAC MEMBERS PRESENT

TERRY L. MILLS JR., MD, MMM, Co-Chair
SOUJANYA R. PULLURU, MD, Co-Chair
HENISH BHANSALI, MD, FACP
LINDSAY K. BOTSFORD, MD, MBA
JAY S. FELDSTEIN, DO
LAURAN HARDIN, MSN, FAAN
LAWRENCE R. KOSINSKI, MD, MBA
JOSHUA M. LIAO, MD, MSc*
WALTER LIN, MD, MBA
KRISHNA RAMACHANDRAN, MBA, MS
JAMES WALTON, DO, MBA

STAFF PRESENT

AUDREY MCDOWELL, Designated Federal Officer
(DFO), Office of the Assistant Secretary
for Planning and Evaluation (ASPE)
STEVE SHEINGOLD, PhD, ASPE

*Present via Zoom

A-G-E-N-D-A

Opening Remarks.....	3
Welcome and Co-Chair Update - Reducing Barriers to Participation in Population-Based Total Cost of Care (PB-TCOC) Models and Supporting Primary and Specialty Care Transformation Day 1.....	3
PTAC Member Introductions.....	7
PCDT Presentation - Reducing Barriers to Participation in PB-TCOC Models and Supporting Primary and Specialty Care Transformation.....	14
Roundtable Panel Discussion: Perspectives of Chief Financial Officers (CFOs) / Chief Executive Officers (CEOs) on Reducing Barriers to Participation in PB-TCOC Models.....	46
<ul style="list-style-type: none"> - Christopher Crow, MD, MBA; Chase Hammon, MBA; Jessica Walradt, MS; Brock Slabach, MPH, FACHE; and Michael Barbaty, MHA 	
Listening Session 1: Reducing Organization-Level Barriers Affecting Participation in PB-TCOC Models.....	123
<ul style="list-style-type: none"> - Clif Gaus, ScD, MHA; David Johnson, MD, MPH; Angelo Sinopoli, MD; and Dan Liljenquist, JD 	
Listening Session 2: Supporting Primary and Specialty Care Transformation.....	200
<ul style="list-style-type: none"> - Elizabeth Mitchell; Joe Kimura, MD, MPH; Robert E. Mechanic, MBA; and Frank Opelka, MD, FACS 	
Committee Discussion.....	274
Closing Remarks.....	316
Adjourn.....	317

P-R-O-C-E-E-D-I-N-G-S

9:33 a.m.

*** Welcome and Co-Chair Update -
Reducing Barriers to Participation
in PB-TCOC Models and Supporting
Primary and Specialty Care
Transformation Day 1**

CO-CHAIR MILLS: Good morning, and welcome to this meeting of the Physician-Focused Payment Model Technical Advisory Committee, known as PTAC. My name is Lee Mills, and I'm one of the Co-Chairs of PTAC, along with Chinni Pulluru. Since 2020, the PTAC has been exploring themes that have emerged from stakeholder submitted proposals over the years.

Previous PTAC theme-based discussions have included maximizing participation in population-based total cost of care models, addressing the needs of patients with complex health conditions or serious illnesses, developing and implementing performance-based measures, encouraging rural participation, improving management of care transitions, and improving care delivery and

1 specialty integration, particularly within
2 population-based total cost of care models.

3 At this public meeting, we've
4 brought together various subject matter experts
5 to gain perspective on reducing barriers to the
6 participation of population-based total cost of
7 care models and in supporting primary and
8 specialty care transformation. How do we
9 effectively reduce the barriers for different
10 kinds of provider organizations and move
11 towards the goal of maximizing participation in
12 total cost of care models?

13 For today's agenda, we will explore
14 a wide range of topics on reducing those
15 barriers to participation in population-based
16 total cost of care models and supporting
17 primary and specialty care transformation that
18 includes understanding factors that affect
19 different kinds of organizations' business
20 decisions about participating, approaches for
21 streamlined models, improving the
22 predictability of benchmarks, and incentivizing
23 the participation of different kinds of
24 organizations in PB-TCOC models.

25 And specific incentives for

1 improving clinical integration and supporting
2 primary and specialty care transformation in
3 different kinds of organizations that are
4 participating in value-based care and enhancing
5 the sustainability and competitiveness of
6 population-based total cost of care models.

7 The background materials for the
8 public meeting, including the environmental
9 scan, are posted online on the ASPE PTAC
10 website's meeting page. Over the next two
11 days, we will hear from many esteemed experts
12 with a variety of perspectives, including
13 previous PTAC members.

14 We will begin our day tomorrow with
15 opening remarks from Mr. Abe Sutton, the
16 Director of the Center for Medicare and
17 Medicaid Innovation and Deputy Administrator
18 for the Centers for Medicare & Medicare
19 Services.

20 I want to mention that tomorrow
21 afternoon will include a public comment period.
22 Public comments will be limited to three
23 minutes each. If you would like to give an
24 oral public comment tomorrow but have not yet
25 registered, please email

1 ptacregistration@norc.org. Again, that's
2 ptacregistration@norc.org.

3 The discussion, materials, and
4 public comments from the March PTAC public
5 meeting will all inform a report to the
6 Secretary of HHS¹ on reducing barriers to
7 participation in population-based total cost of
8 care models and supporting primary and
9 specialty care transformation. Over the next
10 two days, the Committee will discuss and shape
11 our comments that will go to the Secretary.

12 In February, we posted a Request for
13 Input on the ASPE PTAC website to give
14 stakeholders an opportunity to provide written
15 comments to the Committee on reducing barriers
16 to PB-TCOC models and supporting primary and
17 specialty transformation. To date, we have
18 received two responses that the Committee may
19 consider in their discussion today.

20 The Request for Input will remain
21 open for public comment following this meeting
22 and is posted on the ASPE PTAC website.
23 Responses received after today's meeting will
24 help to inform future PTAC public meetings.

1 Health and Human Services

1 Lastly, I'll note that, as always,
2 the Committee is ready to receive proposals on
3 possible innovative approaches and solutions
4 related to care delivery, payment, or other
5 policy issues from the public on a rolling
6 basis. We offer two proposal submission tracks
7 for submitters, allowing for flexibility
8 depending on the level of detail of the payment
9 methodology proposed. You can find information
10 about submitting a proposal on the ASPE PTAC
11 website.

12 * **PTAC Member Introductions**

13 At this time, I'd like to invite my
14 fellow PTAC members to please introduce
15 themselves, please share your name and
16 organization, and if you like, feel free to
17 describe any experiences you have with our
18 topic. First, we'll go around the table and
19 then I'll ask our members joining remotely to
20 introduce themselves as well. I will start.
21 My name is Lee Mills, I'm a family physician. I
22 am Chief Medical Officer of Aetna Better Health
23 of Oklahoma, one of the contracted Medicaid
24 plans in the state of Oklahoma.

25 Before that, I was Chief Medical

1 Officer for a provider-owned health plan in the
2 ACA² Advantage, Medicare Advantage, and
3 commercial space, and before that, a medical
4 group and health system leader operating in
5 practice transformation, in which I have helped
6 implement and lead five CMMI³ models over the
7 years.

8 I'll turn to my right, Chinni.

9 CO-CHAIR PULLURU: Good morning, I'm
10 Chinni Pulluru, I'm a family physician by
11 trade. I've spent 20 years in the value-based
12 care implementation space running clinical
13 operations. Currently, I'm Fractional Chief
14 Medical Officer of Stellar Health, a
15 value-based care enablement company, as well as
16 consult with organizations large and small in
17 that transformation space.

18 Prior to that, was at Walmart Health
19 and scaling health and wellness operations, and
20 prior to that, led a large multi-specialty
21 independent medical group in suburban Chicago.
22 Thank you. Now, I'm going to hand over to
23 Lindsay.

24 DR. BOTSFORD: Thanks, Chinni. Good

2 Affordable Care Act

3 Center for Medicare and Medicaid Innovation

1 morning, I'm Lindsay Botsford. I'm a family
2 physician in Houston, Texas, where I also serve
3 as a Regional Medical Director with One
4 Medical.

5 I'm the chair of our ACO REACH⁴
6 governing body within the organization. Prior
7 to that, came through the Iora Health Network.
8 I currently still see patients, in addition to
9 managing our practices across the Midwest and
10 Texas.

11 DR. BHANSALI: Hi, good morning,
12 everyone. My name is Henish Bhansali, and I'm
13 a primary care internal medicine doctor by
14 training. I serve as the Chief Medical Officer
15 for Medical Home Network. Medical Home Network
16 works with Federally Qualified Health Centers
17 or community health centers across the country
18 to help transition them into value-based care.

19 Prior to that, I was Senior Vice
20 President for Value-Based Care, Medicare
21 Advantage, at a multi-specialty group, the same
22 as Dr. Pulluru in Chicago.

23 Prior to that, I was at Oak Street
24 Health as their VP and National Medical

4 Accountable Care Organization Realizing Equity, Access, and
Community Health

1 Director for Care Navigation, really helping
2 patients across the country find the best
3 specialty and other ancillary services. I was
4 in academics before that. Pleasure to be here.

5 DR. FELDSTEIN: Good morning, my
6 name is Jay Feldstein. I'm currently the
7 President of Philadelphia College of
8 Osteopathic Medicine. I was originally trained
9 as an emergency medicine physician and
10 practiced that for 10 years, and then I spent
11 15 years in the health insurance world working
12 in both commercial and government plans with a
13 lot of value-based purchasing, which in those
14 days was called full risk capitation.

15 MR. RAMACHANDRAN: Good morning, I'm
16 Krishna Ramachandran, Senior Vice President of
17 Health Transformation at Blue Shield of
18 California leading our value-based care
19 efforts. Previously was at Health Care
20 Service Corporation, HCSC, and then prior to
21 that worked for Duly Health and Care as Chief
22 Administrative Officer, a large multi-specialty
23 group. And then before that spent about eight
24 years at Epic, so installing, optimizing EHR⁵

5 Electronic health record

1 software for health systems across the country.
2 Good to be here.

3 DR. KOSINSKI: I'm Dr. Larry
4 Kosinski, I'm a retired gastroenterologist. I
5 practiced for 35 years in the Chicagoland area
6 and built one of the largest GI⁶ practices in
7 the country. For the last 10 years, I've been
8 involved with value-based care, and it actually
9 started with a project that became the first
10 PTAC-recommended physician-focused payment
11 model, which was Project Sonar, that led to the
12 formation of SonarMD, a value-based care
13 company that's focused on chronic disease in
14 the GI space.

15 Currently, I'm also Chief Medical
16 Officer of Jona, an AI⁷ platform company that is
17 focused on the fecal microbiome. I've been on
18 the Committee for three years.

19 DR. LIN: Good morning. I'm Walter
20 Lin, Founder of Generation Clinical Partners.
21 We are a small, independent practice based in
22 St. Louis focused on caring for the frail
23 elderly in nursing homes and assisted living
24 buildings.

6 Gastrointestinal

7 Artificial Intelligence

1 We have been involved with a number
2 of different value-based programs, including
3 institutional special needs plans, PACE⁸
4 programs, home-based medical care for the
5 seriously ill, as well as bundled payments.
6 Most recently I took the position as Clinical
7 Strategy Officer of LTC ACO.

8 MS. HARDIN: Good morning. I'm
9 Lauren Hardin. I'm a nurse by training and
10 Chief Integration Officer for HC2 Strategies.
11 We work with high-cost, high-needs populations
12 in partnership with states, communities,
13 payers, and multi-state health systems, really
14 focusing on innovation and developing connected
15 communities of care.

16 I spent multiple years leading care
17 management innovation in all of the ACOs,
18 including everything from Pioneer, all the way
19 to BPCI⁹, and then spent eight years at the
20 Camden Coalition as part of the team that
21 started the National Center for Complex Health
22 and Social Needs, where I partnered with
23 projects in more than 30 states, designing
24 innovation for complex, high-cost, high-needs

8 Program for All-Inclusive Care for the Elderly

9 Bundled Payments for Care Improvement

1 populations.

2 DR. WALTON: Hi, good morning. My
3 name is Jim Walton. I've been a member of PTAC
4 for two and a half years. I'm a part-time
5 health care consultant, retired physician
6 executive, and internal medicine primary care
7 physician from Dallas, Texas.

8 Over my career, I practiced primary
9 care first in Ellis County, Texas, and then in
10 Dallas. I led the community medicine and
11 health equity improvement strategy for a large
12 health system before shifting to value-based
13 care development for a physician-led IPA¹⁰ in
14 Dallas.

15 That IPA contracted with CMS¹¹ and
16 commercial insurers for practicing independent
17 physicians in and around Dallas-Fort Worth and
18 North Texas for commercial Medicare Advantage,
19 MSSP¹², and Medicaid ACOs.

20 CO-CHAIR MILLS: Thank you. Let's
21 go to our PTAC members joining us on Zoom.
22 Josh, please go ahead. No, Josh. All right.
23 We will proceed.

10 Independent physician association

11 Centers for Medicare & Medicaid Services

12 Medicare Shared Savings Program

1 Let's move to our first
2 presentation. Four PTAC members served on the
3 Preliminary Comments Development Team, or PCDT,
4 which has collaborated closely with staff to
5 prepare for this meeting. Jim Walton was the
6 PCDT lead, with participation from Larry
7 Kosinski, Henish Bhansali, and Walter Lin.

8 We are very thankful and grateful
9 for the time and effort they put into
10 organizing today's agenda and preparatory
11 information. The PCDT will share some of the
12 findings from their analysis to set the stage
13 and goals for the meeting. PTAC
14 members, you'll have the opportunity to ask
15 follow-up questions afterwards, please. And
16 now we'll turn it over to Dr. Walton.

17 *** PCDT Presentation - Reducing**
18 **Barriers to Participation in PB-TCOC**
19 **Models and Supporting Primary and**
20 **Specialty Care Transformation**

21 DR. WALTON: Thanks, Lee. Our
22 title, as already mentioned, Reducing Barriers
23 to Participation and Supporting Primary and
24 Specialty Care Transformation in
25 Population-Based Total Cost of Care Models.

1 I first want to say thanks to
2 Walter, Larry, and Henish for their great help
3 in producing that presentation. And of course,
4 we couldn't do it without ASPE and NORC's
5 research and support in developing the slides.
6 So thank you all for that.

7 You know, as I prepared for the
8 presentation, it occurred to me that PTAC, CMS,
9 and many others are following in a long line of
10 visionaries and reformers since Medicare's
11 inception in 1965. Over the last 60 years,
12 we've all been working to continually improve
13 Medicare's social contract with America.

14 Today and tomorrow, we continue in
15 that tradition as subject matter experts,
16 practicing frontline providers and
17 administrators, and policy experts work to help
18 uncover barriers to participation in APMs¹³,
19 Alternative Payment Models, accountable care,
20 and MSSP as we find new ways to support primary
21 and specialty care delivery transformation.

22 In this, our objectives for our
23 meeting, as Lee identified, first is this
24 discovery of barriers to participation in

13 Alternative Payment Models

1 population-based total cost of care and other
2 APMs. Second was to discuss the idea of
3 participation pathways and the creation of
4 participation pathways to help reduce key
5 barriers.

6 Third is to discuss ideas for better
7 supporting primary and specialty care
8 transformation to drive more value and help
9 grow participation in accountable care and
10 Alternative Payment Models. And lastly,
11 discuss opportunities for enhancing both
12 sustainability and competitiveness in the
13 population-based total cost of care models.

14 Ideas discussed over the next couple
15 of days, as Lee mentioned, will greatly assist
16 PTAC in the work, so we encourage lots of
17 discussion. We would develop a report for the
18 Secretary of this discussion and hopefully will
19 help our colleagues in CMMI as well. So here's
20 kind of an outline of the presentation that
21 we're going to try to go through. So let's go
22 to the next slide.

23 We start with the first three slides
24 being some basic working definitions. The
25 first one being the accountable care

1 relationship, which is, in short, a
2 relationship between a provider and patient
3 that establishes the provider as accountable
4 for quality and cost for all of a patient's
5 covered health care services. The next is our
6 population-based total cost of care model
7 working definition as an Alternative Payment
8 Model, or APM, in which participating entities
9 or organizations assume accountability for
10 quality and total cost of care and receive
11 payments for all covered health care costs.

12 This last working definition is one
13 that we developed for the health care business
14 model, and it follows that a viable health care
15 business model is one that allows a health care
16 entity to provide health care services that
17 meet the patient's needs and delivers value
18 while ensuring a sustainable return to continue
19 so that they would continue in the business of
20 operations over time.

21 After these definitions, we wanted
22 to review some of the findings of ASPE's
23 research, and you can find this on the ASPE
24 website. There's a number of issue briefs, and
25 one we want to elevate here is a study that was

1 done between the years 2012 and 2022,
2 effectively the first 10 years of MSSP and
3 CMMI's work.

4 And we see here in some of the
5 results that CMMI models had gross savings
6 between \$7 and \$11 billion over that decade.
7 MSSP also had savings of around \$23 to \$31
8 billion.

9 So it illustrates that the CMMI
10 models were successful in reducing spending,
11 and it was noted that it was best in those
12 counties with high penetration and
13 participation, and we'll spend more time
14 looking at those elements for this meeting.

15 This suggests to me that the
16 likelihood of even greater savings potential in
17 the future if barriers to participation could
18 be identified and mitigated for more
19 participation, especially in low penetration
20 regions where Medicare beneficiaries have not
21 had the access to participating providers.

22 But beyond the savings, the research
23 also showed both CMMI and MSSP models delivered
24 more care coordination services, more
25 coordination of care, and improved quality to

1 Medicare beneficiaries. Next, we're going to
2 take a more detailed look at some of the
3 participation data for Alternative Payment
4 Models, both CMMI and MSSP models over the
5 first 10 years.

6 Here we summarize the data through
7 the lens of a few key trends during the rollout
8 of APMs. First would be that the participation
9 has plateaued, and this is also true for all
10 payers. Hospital and integrated delivery system
11 participation has declined. Physician-led ACOs
12 are growing, and specialty care physicians are
13 less likely to participate in ACOs.

14 To illustrate these trends, the next
15 few slides were published by one of tomorrow's
16 SMEs¹⁴, and the key point in this particular
17 slide is to illustrate the continuous growth of
18 physician-led ACOs over the first 10 years.

19 This next slide illustrates the
20 plateauing of covered lives at around 36
21 million by 2021, and the number of ACOs
22 plateauing around 900 to 1,000 in the same
23 period of time.

24 From the same study by Muhlestein,

14 Subject matter experts

1 we see a graphic illustration of ACO growth
2 plateauing across all lines of business a
3 provider holds in their portfolio, commercial,
4 Medicare, and Medicaid between the years 2010
5 and 2021.

6 Again, this graph adds to the
7 evidence illustrating the deceleration of new
8 entrants entering Alternative Payment Models,
9 and as well a suggestion of acceleration of
10 exits of participating entities in the ACO
11 market.

12 Here we see the information
13 differently presented by market potential for
14 growth for both physician and hospital system
15 provider entities. As you can see, the low 6
16 percent penetration of physicians and 28
17 percent for hospital and integrated delivery
18 system highlights a remarkable size of
19 opportunity for participation to increase.

20 The key point of this last graphic
21 from the study assesses the provider adoption
22 of Medicare advanced APMs, revealed that about
23 50 percent of primary care doctors and 70
24 percent of specialty care physicians have
25 opportunities to join in participation in the

1 future.

2 The trends of participation and the
3 magnitude of the market opportunity for
4 participation of all providers and the entities
5 they are aligned with is noted in this slide
6 and is combined with the benefits.

7 And when we combine that with the
8 benefits to both quality and cost for both
9 beneficiaries and CMS, has led us to want to
10 take a closer look at the unique barriers
11 hospital and integrated delivery systems
12 confront when considering Alternative Payment
13 Model participation.

14 This is best emphasized with data
15 showing the increasing number of physicians and
16 hospitals aligned with corporate entities and
17 health care systems. We noted both market
18 share and resource capabilities of integrated
19 delivery systems enables them to provide high-
20 value and well-coordinated care.

21 So we wanted to know the trends of
22 integrated delivery system participation. So
23 the next few slides try to answer a couple of
24 key questions concerning integrated delivery
25 system participation.

1 First question was, has there been a
2 decrease in the number of integrated delivery
3 system-led ACOs, and the second question, are
4 physicians and hospitals able to participate in
5 accountable care if the entity they are
6 affiliated with is not participating as the
7 lead organization?

8 The analysis to answer these two
9 questions tracked ACO participation among large
10 integrated delivery systems for the years 2016,
11 2018, '20, and '22, and for the Medicare
12 Alternative Payment Models, MSSP, Pioneer, Next
13 Generation, Global and Professional Direct
14 Model, and ACO REACH.

15 The data suggests the answer to
16 question number one, the Pioneer model had 62
17 percent integrated delivery system leadership
18 in the ACO in 2016. By the Next Gen model, had
19 a falling percentage of delivery system
20 leadership in the ACO.

21 It dropped from 56 percent in 2016 to
22 39 percent in '20. And then finally, the
23 Global and Professional Direct Model had only
24 23 percent integrated delivery system
25 leadership by 2022. To answer the second

1 question, the data showed on this slide
2 attempts to answer kind of the second question.
3 Ninety percent of large and 70 percent of small
4 Medicare integrated delivery systems had
5 partially participated in Alternative Payment
6 Models each year of the analysis, 2016 through
7 '22.

8 In those that participated, however,
9 a relatively small proportion of the integrated
10 delivery systems' hospitals and physicians
11 participated. The graphic highlights the
12 degree of penetration divided by large and
13 small to medium integrated delivery systems.

14 Other findings were noted that
15 around 25 percent of large integrated delivery
16 systems participated in more than one ACO, and
17 around 50 percent of the large integrated
18 delivery systems participating in Medicare ACOs
19 spanned multiple states.

20 So, key takeaways. We next turn to
21 addressing the organization's -- let's see, I'm
22 sorry. The key takeaways, the percentage of
23 CMMI ACO models led by IDSS¹⁵ has declined over
24 time. Despite the large integrated delivery

15 Integrated Delivery Systems

1 systems' high rate of participation in Medicare
2 ACO models, the percent of its providers'
3 participation has been relatively low.

4 So we're next going to turn to
5 addressing the organization's characteristics
6 that may be factors affecting both
7 organizational participation and profitability
8 in Alternative Payment Models. This slide
9 highlights the first three characteristics that
10 we wanted to discuss during the next couple of
11 days.

12 The first is the organizational
13 characteristics by type, and you see where
14 we've grouped them together by ownership types
15 over to the left of the slide with physician
16 ownership, hospital ownership, and insurer
17 ownership or payer ownership groupings to
18 illustrate how we might organize this work.

19 The second table there is
20 organizational characteristics. Examples are
21 management approaches, governance, clinical
22 integration, EHR consolidation, and similar
23 ideas of organization.

24 And the third is the market
25 characteristics would be urban or rural

1 geographic locations, the Area Deprivation
2 Index of markets that are served by the
3 organizations that want to participate, the
4 degree of Medicare Advantage penetration could
5 all affect participation and profitability.

6 When we considered the fourth key
7 organizational characteristic, the business
8 model characteristics, we felt the need to
9 highlight important revenue concepts related to
10 Alternative Payment Model and accountable care.
11 And the first point is the size of total annual
12 revenue has a large contribution to the
13 business model and its participation decisions
14 in accountable care.

15 The second consideration for revenue
16 would be the mix of revenue sources for a
17 particular entity. And the third item is the
18 revenue of ACO participants compared to the
19 total spending for the assigned beneficiaries
20 dividing into low- versus high-revenue ACO
21 definitions. For example, a large group
22 primary care practice accountable for total
23 cost of care may have high total annual
24 revenues but may control a relatively small
25 share of the total spending for the population.

1 This would be defined as a low-revenue ACO.

2 In this slide, the revenue concepts
3 related to ACO participation are represented.
4 We identified business model characteristics as
5 a key fourth organizational characteristic to
6 consider as a factor impacting participation
7 decisions.

8 The key point of the slide is that
9 ACO revenues as a share of total cost of care
10 may significantly impact participation
11 decisions. For example, as improvements in
12 care delivery and overall health status could
13 shift demand for some organizations who provide
14 these services. Example would be inpatient
15 care for a large integrated delivery system.

16 When we looked at annual revenues,
17 the size of the revenue for a particular
18 organization may also influence decision,
19 impacting the ability to invest in value-based
20 care infrastructure and or their ability to or
21 willingness to assume financial risk.

22 And another example would be revenue
23 sources could impact decision-making, as the
24 degree of the diversification of revenue
25 portfolios may impact risk tolerance within an

1 organization.

2 In the next section, the
3 subcommittee worked with ASPE staff to create
4 an initial framework for linking the four
5 organizational characteristic areas with
6 participation pathway development for
7 maximizing participation in accountable care
8 and Alternative Payment Models.

9 Here, we particularly wanted to focus this
10 idea on identifying pathways for increasing
11 population-based total cost of care models.

12 Our definition for this population-
13 based total cost of care is a pathway may be
14 considered as a grouping of health care
15 delivery organizations treated similarly with
16 regard to benchmarks, two-sided risk, and how
17 performance measures affect payment when
18 choosing to participate. This will evolve over
19 time with this meeting.

20 So, putting this all together, a
21 picture is worth a thousand words. And here we
22 see the various inputs that we conceptualized
23 entering into the participation pathway
24 creation with provider types and operational
25 characteristics feeding into the organizational

1 types along with market and business revenue
2 characteristics all feeding into the
3 participation pathway created for like groups
4 of provider entities.

5 The pathways produced have unique
6 features and incentive structures potentially
7 incorporated into existing Alternative Payment
8 Models with the ultimate outcome of maximizing
9 accountable care mix of entities.

10 We suspect organizational business
11 model characteristics are useful for pathway
12 development because they help explain why
13 organizations may or may not participate in
14 Alternative Payment Models.

15 As such, key business model
16 characteristics like revenue, revenue source,
17 management control, could serve as the pathway
18 building blocks for grouping-like entities into
19 pathways that best fit their business
20 characteristics and where it's reasonable to
21 apply similar payment approaches such as
22 benchmarks, two-sided risk, and performance
23 measures in the pathway for those entities.

24 Additional considerations for discussion
25 around developing participation pathways

1 include that pathways may take into
2 consideration certain factors that affect
3 outcomes that are not easily modifiable by the
4 organization, like Area Deprivation Index or
5 the geographic location that an organization is
6 operating in.

7 Conversely, pathways may not
8 recognize factors affecting outcomes that are
9 more modifiable and consistent with accountable
10 care vision, like primary care and specialty
11 care integration and clinical integration.

12 Importantly, we all agree that the factors
13 incorporated into pathways, future pathways,
14 should avoid increasing complexity of
15 administering contracts by both participants
16 and CMS.

17 Finally, given the rising influence
18 of value-based care aggregators, for example,
19 it's expected that they might manage 19 million
20 beneficiaries by 2028, we may want to consider
21 a different pathway for this type of entity.
22 In this slide we illustrate some of the
23 complexity connected to Alternative Payment
24 Model and pathways for various types of
25 organizations. This slide attempts to

1 illustrate this complexity, the total cost of
2 care payment models within the payment
3 ecosystem for all provider types, recognizing
4 the reality of revenue source portfolio
5 management at play.

6 Moving from left to right, we see an
7 example of moving from fee-for-service, a pure
8 fee-for-service payment system, to a full total
9 cost of care risk-based payments in the brown
10 or reddish boxes. In the blue ovals we
11 illustrate the various CMS, CMMI Alternative
12 Payment Model payment policy options where
13 participation pathways may be applied.

14 In the green boxes at the bottom, we
15 see illustrated the various entity types that
16 may be attracted to participate in different
17 offerings available based on organizational
18 business characteristics and Alternative
19 Payment Model pathways that have been
20 developed.

21 To end this part of the
22 presentation, we illustrate how the concept of
23 participation pathways along the Y axis would
24 intersect with pathway payment considerations
25 along the X axis. This is the work ahead

1 of us, taking the discussion and feedback of
2 this meeting into consideration as we move to
3 fill out the different elements of the
4 different pathways for different groups of
5 entities we desire to have participate.

6 Next, I wanted to briefly touch on
7 the topic of supporting primary and specialty
8 care transformation to kind of start the
9 conversation for some of our discussions later
10 on today. Specifically focused on primary and
11 specialty care transformation, acknowledging
12 the first decade of accountable care's
13 difficulty with increasing specialty
14 participation.

15 This slide highlights a couple of
16 ideas previously discussed in earlier PTAC
17 theme-based discussions, and the first point
18 here is the importance of support for sharing
19 patient data as being a key opportunity for
20 improving care transformation.

21 And the second point is the creation
22 of nested specialty episodes that may be
23 another key opportunity to encourage
24 collaboration between primary care and
25 specialty care physicians.

1 For emphasis, this slide calls out
2 two different potential approaches for nested
3 specialty episodes. The first recognizes the
4 opportunity for low-cost variation specialty
5 services such as GI, gastroenterology, and the
6 treatment of polyps or gastritis as a nested
7 total cost of care model. The second idea
8 around this, it recognizes the opportunity to
9 nest specialty condition-based payments in
10 total cost of care models as well.

11 Finally, to conclude this
12 presentation, we want to introduce some ideas
13 around maximizing the competitiveness and
14 sustainability of population-based total cost
15 of care models. The main point here is that
16 regardless of the number of pathways, there are
17 policies that can also help make APMs and
18 accountable care more flexible and competitive.

19 Policy areas, considerations that
20 might be considered relevant to improving
21 competitiveness would be ideas that address
22 consolidation of the marketplace, the impact of
23 prevailing socioeconomic conditions and low
24 penetration markets, the degree of Medicare
25 Advantage penetration, waiver participation

1 incentives, beneficiary engagement incentives,
2 and specialty care nested episode incentives.

3 So now as I finish the presentation,
4 we turn back toward the focus areas for this
5 meeting, reducing organization-level barriers,
6 affecting participation in population-based
7 total cost of care models, supporting primary
8 and specialty care transformation, enhancing
9 the ability of population-based total cost of
10 care models to be competitive, and how to
11 maximize participation of beneficiaries in
12 accountable care and improve the sustainability
13 of effective population-based total cost of
14 care models. So thank you and I look forward to
15 the discussion.

16 CO-CHAIR MILLS: Jim, thank you and
17 the PCDT for that rich discussion of some
18 important topics. Before we open it up to the
19 full Committee, other PCDT members have any
20 additional comments to add?

21 DR. KOSINSKI: First of all, great
22 job. It's a very complex subject, and you
23 presented it and organized it quite well.
24 Three points. Number one, I think one of the
25 main issues we wrestled with at the PCDT was

1 this concept of low-revenue, high-revenue
2 systems. How much of an organization's
3 revenue is at risk from the APM? The more of an
4 entity's revenue that's at risk, the more
5 likely they are going to be to be full
6 participants and maintain their participation
7 over time. What we're seeing is that it's
8 probably not high enough.

9 Secondly, the data sharing issues
10 are always an issue, especially outside of the
11 integrated delivery systems where you have
12 specialty networks that are not on the same EHR
13 with the primary care base. This is a major
14 challenge.

15 And the third thing is the inertia
16 built into the system itself in converting
17 entities from fee-for-service to value-based
18 care and maintaining them in that value-based
19 care environment.

20 The business model of the entity has
21 to be considered in this transition because
22 the, if the transition to value-based care is
23 not good for the business model of the provider
24 entity, you're not going to have sustained
25 adoption over time. So, major challenges. I

1 hope we learn from our SMEs over the next two
2 days.

3 CO-CHAIR MILLS: Other PCDT members,
4 comments? Okay. We will open it up to the
5 full Committee. If you have a comment or
6 question, just raise your table tent or your
7 hand online if you're on Zoom. Committee.

8 Okay. Well, I will take personal
9 privilege to say I was struck by some of your
10 early comments, Jim, about the participation in
11 Medicare APMs have plateaued and backed off in
12 many regards and that we're seeing a trend
13 occurring in Alternative Payment Models across
14 lines of business or across types of payers.

15 And that strikes me that that is
16 similar from a Gartner change cycle that we're
17 past the innovators and the early adopters, and
18 we're entering the trough before you get
19 mainstream adoption and later adopters.

20 And what's significant about that to
21 me is it takes different tools, different
22 messaging, different discussion to move through
23 that trough into later mainstream adoption.

24 And I wonder how those concepts apply in this
25 area. Other comments, questions?

1 Josh, thank you. Go ahead online.

2 DR. LIAO: Good morning, everyone.

3 Josh Liao, physician and professor at UT
4 Southwestern in Dallas. A really great PCDT
5 presentation. I was struck by two things. I
6 think the first is that last kind of section
7 about competitiveness. And I think really from
8 my perspective, that's important.

9 I think the idea of maximizing
10 participation is, really, I would kind of maybe
11 just adjust and say, is it maximizing for the
12 organizations and clinicians and patients for
13 whom it makes sense and recognizing there are
14 many different offerings, so to speak, in the
15 market. You know, we want to make it
16 competitive in a way where it's a really nice
17 option for all these groups we're talking
18 about.

19 But I think kind of like everyone
20 should be, and everyone should not be, you
21 know, loses the nuance, and I think this
22 presentation really gets at. So I just, you
23 know, really encourage us. And I know the PCDT
24 is doing that because I saw all the slides to
25 keep that nuance kind of front and center. So

1 I think that's just my initial reaction, but
2 great presentation. Thank you.

3 CO-CHAIR MILLS: Other questions or
4 comments from the Committee? Okay. Well, I
5 will, again, add one more comment, which is I
6 really appreciated the graphic at the end,
7 which is original work and is starting to link
8 the HCP-LAN¹⁶, you know, conceptual pathway
9 towards increasing value on a value-based
10 payment concept, starting to link it to more
11 granular pieces that any business would have to
12 consider of where they start and where they
13 move to. And I think that's really important
14 for operators of many different sizes as they
15 want to get into value-based pathways.

16 DR. WALTON: Yes. I wanted to
17 comment on this, that particular slide. It
18 struck me when we were discussing this topic,
19 that ultimately led to the slide was the
20 challenges of portfolio management, payer
21 portfolio management for physicians and
22 independent practice or integrated delivery
23 systems and the decision-making process.

24 And I think that gets -- the graphic

16 Health Care Payment Learning & Action Network

1 gets at the heart, to the heart of what in this
2 meeting, we wanted to talk deeper about, which
3 is how is it that given the 10 years of
4 experience, the first 10 years of experience,
5 and given this plateauing that we see of
6 providers of all types in all different
7 opportunities around accountable care, there is
8 this kind of, number one, there's a learning
9 that's taking place, right? There are these
10 lessons.

11 And some of those lessons are very
12 painful, you know, financially painful for
13 physicians and entities. And so one might
14 think that that's kind of a natural -- as you
15 were saying, Lee, a natural sine wave of
16 participation of early adopters and then people
17 kind of consolidating their losses,
18 consolidating their lessons learned.

19 So the thinking here is around asking
20 folks that have been in the trenches for a
21 while, doing this work, and experiencing these
22 wins and losses, how they would maybe
23 re-envision the next decade or the next couple
24 of decades of this work, recognizing in the sum
25 total of the work, there has been savings,

1 number one, and there has been improvement in
2 care delivery and the quality of outcomes for
3 Medicare beneficiaries.

4 And I think that that needs to sit
5 there as the centerpiece of what we're really
6 discussing here in the next couple of days.
7 But appreciating, I think, what Larry was
8 saying, which is this business model portfolio
9 management is kind of the rock and the big
10 boulder in the middle of the stream that needs
11 to be discussed and then incorporated,
12 potentially incorporated, in this kind of
13 participation pathway idea. So I think this is
14 going to be a rich conversation in the next
15 couple of days.

16 CO-CHAIR PULLURU: Just to add my
17 thoughts, thank you, Jim. That was an
18 excellent presentation. And thank you to the
19 entire PCDT team in putting that together,
20 along with NORC and ASPE.

21 When we think about participation,
22 what you very rightfully sort of put together
23 are three levers. There's the financial lever,
24 and we'll hear much more from our CFO panel,
25 how the payment mechanism flows down to various

1 organizations.

2 There's the operational lever, which
3 is how do these organizations operate? Are
4 they interdependent? Who are they owned by?
5 And are they single specialty versus multiple
6 specialties?

7 And then I would say the third lever
8 is really how those two things come together in
9 the percentage of participation, and how can we
10 influence that? And I think that that's an
11 important topic that is going to be fleshed out
12 over the next two days.

13 And I really like how one of the
14 things that was brought up earlier by Larry was
15 the percent of revenue at risk for an
16 organization and that threshold. You know, I
17 would encourage, as we listen to our SMEs over
18 the next couple days, is to think through, you
19 know, is that a lever? And could we
20 potentially use the chassis that we already
21 have in order to affect that to encourage
22 participation?

23 And so, you know, maybe affect
24 benchmark to affect participation for various
25 people at different, when they have more at

1 risk. So with that, anyway, thank you. And
2 next, I believe, is Josh and then Walter.

3 DR. LIAO: Yes. This conversation
4 just kind of made me stimulate another thought
5 I want to just mention. I really like Lee's
6 comment about, you know, phase of adoption,
7 early, you know, adopters and innovators versus
8 others.

9 I would also think just, you know,
10 what I really like about the slides is it
11 represents all the different approaches that
12 have been thought about, executed, that have
13 not been executed. And I just, I think we have
14 to just be a little bit careful there, right?

15 It's hard to say people are early
16 adopters or innovators for a model that comes,
17 and then it goes again, and the model changes.
18 So you're kind of re-adopting it, and it's not
19 the same, you know, model. You know, if it was
20 the same model all the way through, then you
21 can say, okay, these people have not been in
22 for five years or eight years.

23 And that's part of, I think, really
24 the power, so to speak, of MSSP. It's been
25 around for a while. And so we can see it in

1 its different phases. And I'm sure some of our
2 panel members will kind of elucidate that for
3 us.

4 But, you know, it's hard to really
5 say early, mid-adoption when the models have
6 changed a lot. So I think there's an
7 overarching kind of pull to more and new
8 approaches. And I think that's very good.

9 But I think here we don't want to
10 just add, we want to delete and remove as well
11 or avoid certain things so we can have a kind
12 of clear, this is what the offering is. And so
13 we can see who the adopters are and what are
14 the drivers and the barriers as the PCDT just
15 helped us illuminate.

16 DR. LIN: Jim, a great presentation.
17 And thank you for leading the PCDT in this
18 really important topic of reducing barriers to
19 participation in PB-TCOC models.

20 Now, as I've worked with you and the
21 rest of the PCDT, ASPE, NORC, over the last six
22 months on this topic, I think if I were to zoom
23 out, the key takeaway that I have about
24 barriers to participation in these total cost
25 of care models is that there is an alternative,

1 attractive, financially sustainable model that
2 systems who choose not to participate can fall
3 back on, namely fee-for-service, right?

4 So this is where the business models
5 really come into play because these
6 organizations across the country have built
7 their business models over the last five
8 decades on fee-for-service, six decades or
9 more. And it's easy to fall back on a model
10 that your organization's built to thrive and
11 succeed under. And as long as that alternative
12 is available, then I think that creates a very
13 big barrier to increasing participation.

14 So I think we've talked about in
15 previous public meetings, the need to make fee-
16 for-service, as our experts put it,
17 increasingly uncomfortable, but as long as it
18 is a comfortable, profitable place to be, I
19 think that's probably, in my mind, the biggest
20 barrier.

21 CO-CHAIR MILLS: Henish.

22 DR. BHANSALI: So as we think about
23 fee-for-service versus population-based total
24 cost of care models, there are certain
25 organizations within certain sectors that are

1 delivering outstanding value within the
2 fee-for-service structure.

3 And for example, if there are bundle
4 payments with specific components of quality
5 tied to them in addition to that, then we know
6 that there's high-value care that's being
7 delivered, albeit in the fee-for-service
8 structure.

9 And so as we think about moving
10 population-based total cost of care,
11 value-based care forward, I guess part of the
12 question is where is the lowest value care
13 being delivered, and how do we create models to
14 drive those structures and to create business
15 models that optimize that sector of care
16 delivery into value-based care and make the
17 fee-for-service business model within that
18 sector the least attractive financial model?

19 With the understanding, based on
20 what Josh said earlier as well, is that not
21 everyone over time will be in the value-based
22 care models, population-based or fee-for-peer,
23 whatever structure it is, but they'll live in
24 the fee-for-service sector and yet deliver very
25 high value.

1 CO-CHAIR MILLS: Other Committee
2 comments or questions? Once, twice?

3 CO-CHAIR PULLURU: I just wanted to
4 kind of go back to the point that filling in
5 that table on the slide that Jim had presented
6 is one of the goals that we have at this
7 meeting, is really getting to the point where
8 we could fill in more of that.

9 CO-CHAIR MILLS: Okay. Well, Jim
10 and whole PCDT, thank you for that wonderful
11 presentation and highlighting some important
12 research and backgrounds. That really sets the
13 stage well to hear from our next panel, which
14 will be CEOs and CFOs, to talk about barriers
15 to entering value-based care and
16 population-based total cost of care models.

17 At this time, we will have a break
18 until 10:40 Eastern Time. Please join us as we
19 come back and welcome a group of experts for
20 our first roundtable discussion. Thank you
21 very much. We stand in recess for 10 minutes.

22 (Whereupon, the above-entitled
23 matter went off the record at 10:26 a.m. and
24 resumed at 10:40 a.m.)
25

1 * Roundtable Panel Discussion:
2 Perspectives of Chief Financial
3 Officers (CFOs) / Chief Executive
4 Officers (CEOs) on Reducing Barriers
5 to Participation in PB-TCOC Models

6 CO-CHAIR MILLS: Welcome back, the
7 PTAC meeting will resume. Jim and the PCDT
8 team laid the foundation for this public
9 meeting and some of the questions we want to
10 explore and the framework to consider that.
11 I'm excited to welcome our first roundtable
12 panel. At this time, I'll ask our panelists to
13 go ahead and turn on video if they haven't done
14 so already.

15 In this session, we've invited five
16 esteemed experts to discuss their perspectives
17 on reducing barriers to participating in
18 population-based total cost of care models.
19 After each panelist offers a brief overview of
20 their work and thoughts, I'll facilitate the
21 discussion by asking each panelist questions on
22 that topic to discuss amongst themselves and
23 with the Committee. The full biographies of
24 our panelists can be found online, along with
25 other materials for today's meeting.

1 I will briefly introduce each of our
2 guests and give them a few minutes each to
3 introduce themselves. After those five
4 introductions, we'll have plenty of time for
5 questions and to engage in what we hope will be
6 a robust discussion. First we have Dr.
7 Christopher Crow, Chief Executive Officer and
8 Co-Founder of Catalyst Health Group. Chris,
9 welcome.

10 DR. CROW: Thank you. Well, it
11 looks like you have our -- or my background
12 here on the slide, so rather than read it, I'll
13 just kind of give you a quick highlight. Maybe
14 we can even get to the next person quicker, but
15 these are the things we do at Catalyst Health
16 Group. It's a -- I'm a family physician by
17 training. I grew up in a really small town that
18 had three family physicians that really
19 oriented how I think about the world and how I
20 think about how we can take care of our
21 populations across the nation.

22 And so, I've kind of created an
23 ecosystem of companies along the way over the
24 last 25 years that include, you know, the
25 largest independent primary care group in

1 probably the whole southwest, maybe the second
2 in the nation that's still privately held by
3 physicians, a services organization that
4 supports that, and then a broader network of
5 primary care physicians that are independent in
6 nature for the last 10 years was -- is how we
7 started across Amarillo area, North Texas, and
8 East Texas.

9 And really what's been -- what the
10 purpose of what we do is how do we help
11 communities thrive through bringing better
12 primary care access and performance to those
13 communities?

14 We believe that the three pillars of
15 any community to actually thrive are health
16 care, education, and jobs, and any one of those
17 three pillars being off will make it to where
18 it's very difficult for a community to thrive,
19 so we try to do our part to really push past
20 what I would call the fee-for-service, that I'm
21 very public in how I think that is the devil of
22 primary care, to really do a more of a
23 population or perspective payment, or what all
24 the kids now call their subscriptions, of
25 primary care because uniquely in health care,

1 that's a longitudinal relationship that can now
2 last years and decades, versus some of the
3 other things in health care where I understand
4 there are reactive point-by-point transactions
5 that need that fee-for-service.

6 So, we built a constellation of
7 things around that. We have done some things
8 with a not-for-profit that we built in 2020 to
9 get to underserved areas that don't have
10 insurance. We've also done some things around
11 pharmacy with Stellus that is now national
12 that's helping primary care physicians all over
13 the country that are at risk for Medicare
14 better perform as well. So I'll leave it at
15 that and look forward to the conversation.

16 CO-CHAIR MILLS: Thank you. Next we
17 have Mr. Chase Hammon, who's Chief Financial
18 Officer at Duly Health and Care. Chase, please
19 go ahead.

20 MR. HAMMON: Yeah, good morning and
21 thanks for having me. Currently I serve as CFO
22 at Duly Health Care, which is -- we've got
23 about a thousand physicians over three
24 geographies, mostly in Chicagoland. A

1 multi-specialty group, we've got ASCs¹⁷, labs,
2 primary care, and most specialties. Before
3 this I was at Springfield Clinic, which is a
4 very similar group in Central Illinois, about
5 700 providers. And then I also spent time in a
6 very large health system, Bon Secours Mercy
7 Health in the Richmond office, and before that
8 academic medicine, so I've served the health
9 care ecosystem and patients in what I would
10 consider most of the large and more popular
11 ways of serving patients, academics, large
12 health system, nonprofit, independent, and
13 private equity-owned.

14 And I'm excited about today's
15 conversation. I think patients' care should be
16 led by providers, physicians, and APPs¹⁸. I
17 think that's where the cost of care is the
18 best. We showed 20 to 30 percent lower cost of
19 care than most systems, and they're the ones
20 that are really trained to care for our
21 patients.

22 So when we think about how do we
23 remove barriers to what I'd consider really
24 good care, I think it starts with the economics

17 Ambulatory surgery centers

18 Advanced Practice Providers

1 associated with it, the burden, and standing
2 up, and then growing those business lines, and
3 it's really difficult to do at that size and
4 scale. And that's I think why a lot of smaller
5 physician groups just simply don't do it, it's
6 too burdensome and too uncertain for most
7 groups to do. So, I look forward to digging
8 into this and spending some more time on it.

9 CO-CHAIR MILLS: Thank you, Chase.
10 Next we have Ms. Jessica Walradt, who's Vice
11 President of Finance, VBC Contracting and
12 Performance at Northwestern Medicine. Jess,
13 welcome.

14 MS. WALRADT: Hi, thank you and
15 thanks for the opportunity to participate in
16 today's panel. As you noted, I'm speaking on
17 behalf of Northwestern Medicine, and that's a
18 health system in Northeastern Illinois that
19 employs over 3,500 physicians. We have 11
20 hospitals, one of which is an academic medical
21 center located in downtown Chicago.

22 We participate in a number of VBC¹⁹
23 contracts, commercial, Medicare Advantage, as
24 well as the Medicare Shared Savings Program.

19 Value-based care

1 And historically have participated in Medicare
2 bundled payment programs, like BPCI Advanced
3 and the Oncology Care Model, and our
4 participation in those programs is what's
5 influencing my comments today. Next slide,
6 please.

7 So when assessing participation in
8 an Alternative Payment Model, there's a lot of
9 things we consider, and this slide highlights
10 some of the major questions that we
11 contemplate, and which aspects of program
12 design influence our thinking. And I'll just
13 cover a couple of them briefly right now. So,
14 since that we include an academic medical
15 center, education, discovery, innovation are
16 core to our strategy, and we are a destination
17 for patients with advanced, complex conditions.

18 So we look at very closely a models
19 risk adjustment methodology, the attribution
20 methodology, whether or not there are certain
21 carve-outs, and those factors also influence
22 our thinking about whether we ultimately
23 believe there's a clinically appropriate path
24 to generate savings. And to illustrate that
25 concept, I included this graph on this slide

1 that shows data from our actual experience and
2 the Oncology Care Model.

3 So, each of the bar graphs represent
4 average episode payments for a lung cancer
5 episode, and the green reflects the portion of
6 that that is driven by inpatient hospital
7 stays. And then that orange yellow is the
8 range of our target prices across performance
9 periods. And you can see here that if we
10 eliminated every single inpatient stay, meaning
11 all of that green for a lung cancer episode
12 patient, we still would not have been able to
13 come below our target price.

14 So, we did not see a clinically
15 feasible path to savings in this program, and
16 it's one of the reasons that we're not in the
17 Enhancing Oncology Model today.

18 And then I think there's a lot of
19 factors that everyone on this call thinks
20 about, like, data, administrative operational
21 lift, if you can meet the implementation
22 demands within the timeline given, things like
23 that. And I'm happy to talk about that in more
24 detail and our other learnings from our
25 participation in these models later in the

1 conversation today. Thank you.

2 CO-CHAIR MILLS: Thank you. Next we
3 have Mr. Brock Slabach, who's Chief Operating
4 Officer at the National Rural Health
5 Association. Brock, please go ahead.

6 MR. SLABACH: Well, thank you, and
7 it's good to be with all of you this morning.
8 I'm joining you from Leawood, Kansas, and I am
9 the chief operations officer of the National
10 Rural Health Association. Prior to my
11 experience having come aboard the NRHA²⁰ in
12 2008, was being a rural hospital administrator
13 from 1987 to 2007, about 20 years.

14 And so, my discussion or my frame of
15 reference for my discussion today will be not
16 only my current role in looking at value-based
17 care initiatives and their impact on rural
18 providers, but also my experience as a rural
19 hospital administrator historically.

20 I wanted to kind of give a baseline
21 of some of the activities in rural facilities
22 around the United States, and I particularly
23 want to point your attention to the upper left
24 graphic, which shows participation in quality

20 National Rural Health Association

1 payment models by rural facilities, in this
2 case, Critical Access Hospitals. You'll see
3 here that Medicare ACO, 47 percent currently of
4 rural Critical Access Hospitals are
5 participating in some form of an Accountable
6 Care Organization, 26 percent in a Medicaid
7 styled Accountable Care Organization, 28
8 percent in commercial ACO, and then about 14
9 percent in a PCMH, or patient-centered medical
10 home.

11 I wanted to point this out because I
12 think that sometimes we think that rural
13 providers are not that interested maybe in
14 innovation, but I would say that it's quite the
15 contrary. I think there's a lot of interest in
16 transforming care at the bedside so that it can
17 become more cost-effective with higher quality
18 to the patients that we serve in our
19 facilities.

20 The other thing I'll point out on
21 this graph is that Critical Access Hospitals,
22 of which there are 1,360 nationwide, about 54
23 percent are independent, meaning they are not
24 affiliated with a larger system. So we have
25 some real opportunity in terms of networking

1 and bringing alliances together of facilities,
2 perhaps in terms of aggregation to be able to
3 make these value-based care models more
4 effective. Next slide, please.

5 I wanted to provide some context
6 because I think it's important when we're
7 looking at total cost of care models that would
8 be applied in a rural context. Forty-three
9 percent of our hospitals in rural areas are
10 currently operating with a negative margin.
11 Right now Medicare Advantage is accounting for
12 39 percent of all Medicare eligible patients in
13 rural communities, and in many states, seven,
14 the penetration exceeds 50 percent.

15 So if we're looking at a Medicare
16 only model, for example, more and more patients
17 are being peeled out of the participation
18 cohort that it would make effective utilization
19 of these programs. One hundred eighty-two
20 hospitals have closed or have ended their
21 inpatient care, and we're counting about 432
22 hospitals in rural areas that are vulnerable to
23 closure.

24 And during this same time period
25 between 2011 and 2022, we've seen a significant

1 loss in obstetrical care in rural areas, 293
2 having dropped this service, which represents
3 over 25 percent of America's rural obstetrical
4 units, so this is another reason. And we look
5 at oftentimes obstetrics as being a precursor
6 to a hospital closure. Next slide.

7 I wanted to offer some rural
8 considerations in the total cost of care
9 modeling as it applies to rural facilities. I
10 think one of the big factors in rural
11 communities is, and their hospitals and
12 clinics, is the bench strength of leadership to
13 implement transformational programming. Often
14 in rural facilities you have an administrator,
15 a CFO, and then you have a whole line of
16 department directors. And this makes it very
17 difficult to implement innovation.

18 A lack of clarity around risk and
19 reward analysis, I think Jessica did a
20 tremendous job of giving us an example of how
21 to look at a model that projects what the
22 participation would be in terms of financial
23 impact. Often in rural facilities, it's almost
24 impossible to understand the impact, and so
25 when you're talking to a board of trustees

1 about putting your assets at risk to
2 participate in a model, that's a very difficult
3 conversation.

4 We have found a little or no
5 appetite for double-sided risk models, and this
6 is another example of the lack of clarity
7 around risk reward analysis. I already
8 mentioned the thin margins that our hospitals
9 are operating under with no capital to invest
10 in these kinds of value-based care programming.

11 The other thing that's very present,
12 and I wanted to point this out early, is that
13 the historic turn of VBC or value-based care
14 programming that is either changed or
15 terminated. We have seen a constant stream of
16 programs that have either come into place,
17 rural providers participate, and then the
18 program is ended, leaving them in a lurch with
19 no place else to go.

20 Lack of alignment across multiple
21 payers on payment incentives and quality
22 metrics. We find that because of this lack of
23 bench strength, the alignment across payers is
24 often different, and it has oftentimes
25 confusing or at cross purposes sorts of impacts

1 in terms of the program.

2 And then I already mentioned the
3 increasing diversion of patients from
4 traditional Medicare to Medicare Advantage,
5 which has decreased the population of patients
6 that would participate in a Medicare only
7 program. With that I will turn it back over to
8 our host and thank you very much.

9 CO-CHAIR MILLS: Thank you, Brock,
10 for those comments. Last we have Mr. Michael
11 Barbati, who's Vice President of Government
12 Programs at Advocate Health. Welcome, Michael.

13 MR. BARBATI: Thank you, good
14 morning. So my name is Mike Barbati. I'm the
15 vice president of government programs at
16 Advocate Health. I also serve as the president
17 of Advocate Physician Partners Accountable
18 Care, Inc., which is a 200,000-person MS,
19 Medicare Shared Savings Program Enhanced Track
20 ACO, as well as the president for our ACO
21 REACH, which is our -- which is the Accountable
22 Care Organization of Advocate Aurora Health.

23 So a little bit about Advocate
24 Health. We have a pluralistic physician model
25 that includes over 600 individual

1 independently-aligned practices, as well as our
2 employed physicians, that serve patients across
3 both rural and urban geographic areas, across
4 Illinois, Wisconsin, North Carolina, Georgia,
5 and some -- well, some service sites in Alabama
6 and South Carolina.

7 This is a snapshot of our population
8 health platform. We've got 15 ACOs, clinically
9 integrated networks, as well as physician
10 networks. Across our footprint, we manage 2.4
11 million managed care lives, we've got 110-plus
12 value-based care contracts. My responsibilities
13 do include anything -- any value-based contract
14 that comes out of CMS, CMMI, and Medicaid.

15 And so, we've generated almost
16 three-quarters of a \$1 billion in taxpayer
17 savings across a variety of models dating back
18 to 2015. And then we've paid about \$1.4
19 billion in savings to our participating
20 physicians since 2018. We've got 73
21 participating hospitals across the footprint.
22 We dabble in all of the variety of payers from
23 Medicare, Medicaid, and commercial capitated
24 risk. We've got quite a large footprint, and
25 then each of these networks is typically

1 physician governed with equal representation
2 between employed and aligned physicians.

3 And then, you know, we have invested
4 over the last 10 years significant amounts of
5 dollars into our population health platform
6 infrastructure related to care management,
7 utilization management, other services, and
8 we've spent a lot of time testing and working
9 on specially nested care models to embed within
10 some of our total cost of care dollars, and to
11 give you an idea, we've got about \$250,
12 \$260,000,000 in budget and revenue across these
13 value-based contracts, and then our largest
14 payer in our VBCs is CMS. Go to the next
15 slide.

16 So, I just want to talk about some
17 of the participation barriers while we've been
18 in population health and been managing risk
19 since our beginnings in 2009. We still face a
20 lot of the burdens that other health systems
21 across the country face. And I think part of
22 them are typically, you know, assessing new
23 models, or total cost of care across our
24 disparate geographies. We don't have a
25 continuous geography, and so certainly

1 different insurance regulations by state. Fee
2 schedules look different across states, and so,
3 and we have different levels of readiness, and
4 as we look at tight turnaround times for total
5 cost of care models from CMS and CMMI, that can
6 limit our ability to apply, but as well as our
7 ability to participate. Typically, in most of
8 our value-based contracts, we're taking on
9 quite a risk, and that typically leads to
10 higher financial exposure, meaning that we need
11 a higher level of certainty on the value-based
12 care contract terms and showed it's going to be
13 more profitable than our fee-for-service in
14 order to take that downside risk.

15 Well, you know, we struggle with
16 some of the data styles and operability issues,
17 lack of standards from, you know, differing
18 standards from CMS, from payers, from our
19 vendors. It certainly creates some data silos,
20 and for an organization like ours, it creates
21 an area where we do need to invest significant
22 resources into the technology and data
23 infrastructure to be successful in these
24 models. And then, I think, just one other area
25 to touch on, fragmented sort of care plan

1 designs, you know different benefit structures
2 for MA²¹ versus, you know, different waivers for
3 some of our value-based contracts with CMS and
4 CMMI, as well as sort of disjointed and
5 unconnected care models in the specialty space.

6 We firmly believe that nested care
7 models and embedding specialty care within our
8 total cost of care models is a foundation of
9 our future from a population health standpoint,
10 and we've seen in some of our markets
11 difficulty in managing both specialty care
12 models, as well as total cost of care models in
13 the same market where they're not connected or
14 physicians in participating organizations don't
15 have the opportunity to participate in both.
16 But looking forward to the discussion today,
17 and excited to dig in.

18 CO-CHAIR MILLS: Wonderful, thank
19 you for that, Michael. Great introductions.
20 Now, let's move on to some questions. In the
21 interest of balancing across different
22 perspectives and questions, we'll encourage
23 panelists to keep their response to a few
24 minutes each, but then we will -- and I will

21 Medicare Advantage

1 have an order to speak in, and then we will
2 pitch each question open to the Committee for
3 follow-up questions and give you a chance for
4 further elucidation.

5 So question one, we'd like to hear
6 your thoughts on what are the most important
7 factors affecting different kinds of health
8 organizations' decisions about whether and how
9 to participate in a population-based total cost
10 of care model? We'll start first with Chase
11 and then Jess, and then Michael, and then other
12 comments. So, Chase?

13 MR. HAMMON: Yeah, thanks again.
14 Look, I think organizational structure's a
15 really big deal. Like I said, I've worked in
16 various organizational structures, primarily on
17 the physician's side, and physician-owned
18 versus private equity backed versus nonprofit
19 health system and academics. They all have
20 different views of the world, as it were.

21 I think Brock said it well, when
22 thinking about rural health health care, or at
23 least health care outside of large metropolitan
24 areas like Dallas and Chicago where I've been,
25 the ability for these organizations to stand up

1 the infrastructure necessary is really small.
2 And combine that with the uncertainty around
3 the data, around profitability.

4 Mike mentioned, right, is it -- is
5 the profitability for their fee-for-service
6 side going to go down, right, by participating
7 in the VBC? It's almost always yes for these
8 smaller organizations. So, and then I think,
9 you know, a lot of our physician groups have
10 come together, the independent physician groups
11 have come together, you know, small group
12 becomes a larger group, it becomes a really
13 large group, that's how Duly was created,
14 that's how the Springfield Clinic was created,
15 that's how many of these -- I think Dr. Crow's
16 group was kind of created that way over time,
17 and what that does is you've got these silos of
18 practices, individual practices that kind of
19 operate on a revenue minus expense model or
20 work review model that doesn't really jive with
21 managing a population.

22 And so, when I say oh,
23 organizational structure's also a corner-ship
24 structure, it really helps guide whether the
25 physicians doing the work, right, are kind of

1 team-based players that can play in a
2 population space.

3 I think, again, one of the other
4 biggest challenges is certainty and timing of
5 cash flow and payments, right? That's a really
6 big deal for independent physician groups
7 coming to do the work now, but not get paid for
8 12 to 18 months, as compared to fee-for-service
9 where I get paid in two to six weeks, maybe a
10 little bit longer for some payers, right? It's
11 a real struggle for those independent groups to
12 get over that hurdle of, all right, maybe
13 profitability's better, but I won't know that,
14 you know, for three, six, 12, 18 months, and
15 it's a significant barrier.

16 I'll stop talking and let someone
17 else talk, but these burdens are pretty
18 significant, and the hurdles to get over them
19 are big.

20 CO-CHAIR MILLS: Yeah, great point
21 about cash flow. Next let's hear from Jess.

22 MS. WALRADT: Yeah, so there's a lot
23 of things that influence our thinking about
24 whether to participate in total cost of care
25 model, but I'll just give one example that's

1 unique to Northwestern based on our structure,
2 and that's the fact that we employ two large
3 multi-specialty group practices. So our ACO is
4 20 percent primary care physicians, 80 percent
5 specialists, and that means that right now, the
6 factor, or a factor that is really going to
7 answer, the long -- like, whether it's
8 long-term sustainable for us to be in an ACO
9 type model is attribution.

10 And under MSSP right now,
11 attribution is done, just at the TIN²² level,
12 the full TIN. And so that results in a pretty
13 meaningful amount of our attributed patients
14 not actually being our primary care patients in
15 the traditional way that I think most of us
16 think about primary care. Our academic medical
17 center TIN, for example, we see that over 12
18 percent of the attributed patients within that
19 TIN are attributed via a visit with a specialty
20 APP, mostly oncology and cancer. So, we would
21 like to see an attribution model that's based
22 on TIN and NPI²³ attribution, and we think that
23 would help us actually get a patient population
24 that is closer to our more kind of, again, the

22 Tax identification number

23 National Provider Identifier

1 classic quote unquote primary care population,
2 as I think a lot of us think of it.

3 CO-CHAIR MILLS: Yeah. Great point.
4 Next let's hear from Michael.

5 MR. BARBATI: Sure. You know, I'd
6 echo both the comments that Jessica and Chase
7 mentioned, and just add a couple things. I
8 think from a health system standpoint, you
9 know, one of the things that we, you know,
10 really have to balance is the multiple revenue
11 streams. And so, one of the things that we try
12 to do, you know, specific to Medicare models,
13 we try to tie in, you know, what's our margin
14 on our Medicare business as a whole? How are
15 those sites performing in the, you know,
16 Medicare Value-Based Purchasing Program?

17 And then how would reduction
18 admissions and readmissions and subsequent
19 services, you know, impact their bottom line?
20 And what we found is when we can speak that
21 language across our different organizations, we
22 can typically get the health system on board,
23 but because we also have the independent
24 physician groups, you know, where they've
25 struggled particularly on the cash flow side

1 is, for ACO REACH for instance, we approached
2 about 35 of our practices to participate in the
3 ACO REACH. And they had to give up their
4 guaranteed fee-for-service revenue to take on a
5 capitation and a global form from us.

6 And while we could lay out our
7 predicted increase in their revenue from
8 participating in the model and their predicted
9 increase in their, you know, shared savings
10 check, they were hesitant to do it and
11 ultimately only signed up about 30 percent of
12 those practices because of the cash flow
13 implications and the challenges around waiting
14 for some of those dollars. And then in
15 addition to that, I think for us it's really
16 key to understand organizational readiness in
17 our different markets.

18 In some cases, smaller, you know,
19 independent physician groups in more rural
20 communities do actually have -- are a little
21 bit more nimble and flexible with the
22 infrastructure that we can provide from a
23 health systems standpoint, and so we do see
24 them, you know, take on a little bit more risk
25 in some areas, and being a little bit more

1 nimble and sort of first adopters in some of
2 these spaces. But again, some of that's
3 enabled by, you know, the health system
4 support. And I think without that, that might
5 be even more challenging for some groups.

6 CO-CHAIR MILLS: Right, thank you
7 for that. Brock and Christopher, other
8 comments?

9 MR. SLABACH: Yes, thank you. This
10 is an important question, and I think for our
11 rural hospitals and clinics, I think this is
12 central. Obviously, I said in my intro that in
13 those, roughly half of hospitals that are not
14 affiliated with a system, like Mike mentioned a
15 second ago, they are independent and meaning
16 that they have leadership in their
17 organizations that are often a mile wide and an
18 inch deep. And so when you talk about
19 complicated programming such as
20 population-based total costs of care, the level
21 of complexity becomes overwhelming, and then
22 you have just an inertia that occurs in terms
23 of being able to carry off a program or a
24 participation in something like this.

25 One of the ideas that I think we're

1 seeing nationwide that is starting to take
2 route is development of networks around the
3 implementation of these kinds of programs
4 through a clinically integrated network that's
5 loosely affiliated between independent
6 hospitals in rural areas.

7 And this is something that is being
8 experimented with and I think would be the
9 foundation, if you will, for then moving into
10 some of the more complex models that we're
11 talking about here. I think that many rural
12 facilities don't understand, and again, have
13 difficulty assessing the risk, and so that
14 comes back to the discussion in terms of their
15 financial wherewithal to be able to -- I mean,
16 many of them are worried about keeping their
17 doors open, making the next payroll, and making
18 sure that they can stay open into the
19 long-term.

20 And so, when you're in that kind of
21 operational mode, it's difficult to think
22 long-term about these kinds of programs. And
23 so, I think organizationally this is something
24 that we struggle with at our association when
25 we evaluate programs that would be applicable

1 for participation by rural providers. It's the
2 complexity, and I think that often we get
3 frustrated because these larger, more
4 sophisticated models are not well suited to the
5 rural environment.

6 And so, we keep talking about a
7 rural relevant or a rural-centric model that
8 does have an opportunity to take into account
9 low volumes, which are really the factor that
10 creates complication for risk in these rural
11 communities.

12 DR. CROW: Yeah, I'll just add one
13 to kind of piggyback on that. Catalyst has
14 been URAC²⁴ accredited for a CIN²⁵ for seven,
15 eight years, since 2016 or so, one of the six
16 or seven in the country, what we had to do.
17 And we have a combination, while we're in North
18 Texas, which is, you know, evolving to the
19 biggest metro area in the nation, I also have,
20 not only the panhandle but communities in East
21 Texas that ranged from 10,000 people to 30,000
22 people, and again, I grew up in a town of
23 7,000.

24 So, how we talk about this from a

24 Utilization Review Accreditation Commission

25 Clinically Integrated Network

1 rural setting to your point is completely
2 different to me than how we talk about it in an
3 urban setting. There's just a different
4 solution set that we have to think of there
5 because rural communities, going back to with
6 my point about thriving communities, you have
7 to have the education and the health care and
8 the jobs, well, those smaller communities often
9 struggle in all three of those, and health care
10 being one of the biggest ones right now.

11 If I take it back to the urban
12 setting though, and say a little bit more
13 about, Chase kind of alluded to it, I think
14 everything starts with incentives and
15 alignment, so the ownership structure and
16 governance kind of is your starting point. And
17 you know, what is a hospital system? You know,
18 Mike talked about the dynamic tension between
19 that, and who I talked to all the hospital CEOs
20 about that around, you know, my area, is it's
21 hard. You know? You got to rob Peter to pay
22 Paul.

23 That's just -- they're too
24 different, 180-degree different models. If

1 you're in PE²⁶, you're in and out, you know?
2 It's not going to be something long-term, and
3 these value-based systems usually need a longer
4 tail, so right there you kind of have different
5 issues just as your start.

6 Before you even get into, does the
7 financial model even work? Then you get to
8 that, and, you know, Jessica spoke to the
9 difficulties in that and certainly the
10 incentives and the timing of the payment. The
11 investment, do you even have the capabilities,
12 and if you get to where it makes sense, do you
13 have the capabilities, how do you invest in
14 that? And then if you can conquer all three of
15 those, then you get into it.

16 I think it was Mike was talking
17 about, is like, how do you even get physicians
18 to think about change management in a world
19 where the majority of their pay is still in
20 the, from a primary care setting, I'm going to
21 take off my -- I don't have the specialty hat
22 on here -- from a primary care setting, the
23 Stockholm syndrome of fee-for-service where
24 they think that that's the only way that they

26 Private equity

1 can work, and I have a very similar situation,
2 I did, to Mike had, is where I can actually
3 show people that their perspective capitative
4 -- I don't want to use the word capitative --
5 their subscription payments are better than
6 their fee-for-service. I can show them the
7 math, and they will still say, I'd rather keep
8 my fee-for-service. It's very, very, very
9 interesting, even though they want change, they
10 have a hard time changing.

11 So, you know, there's a lot of
12 hurdles there to get over, and we haven't even
13 talked about data yet, but that's a whole other
14 category.

15 MR. HAMMON: If I could add one more
16 thing that -- I like the Stockholm syndrome
17 reference, Dr. Crow, but I think, you know, one
18 of the biggest factors in all of health care is
19 the payers, right?

20 Especially as they relate to small
21 physician groups or even large physician
22 groups, right? They absolutely drive decisions
23 around when to participate and how to
24 participate in these models. The commercial
25 payers in their, you know, semi-legal

1 monopolies, right, drive physician groups into
2 larger risk-based arrangements that maybe they
3 wouldn't have otherwise been in. And so I
4 think it's hard to talk about what's impacting
5 groups' decisions without talking about how the
6 payers are acting.

7 CO-CHAIR MILLS: Great points.
8 Christopher, I especially like your point.
9 Change is great as long as someone else goes
10 first and shows how -- points the way, right?
11 Wonderful discussion. Let's raise it to the
12 Committee. What questions do you have on this
13 question of most important factors influencing
14 different types of decisions? Jay?

15 DR. FELDSTEIN: Thanks, Lee. This
16 is for Brock and anybody else who wants to
17 comment, and this is going to be a sacrilegious
18 question, because I think we need to take into
19 consideration for some rural hospitals, just
20 population-based total cost of care models just
21 don't work, that we need to think, you know,
22 differently, and whether it's, you know,
23 quality-based bonus structure built around
24 fee-for-service, you know, because one size
25 doesn't fit all.

1 I like the clinical integrated
2 network design, but I think we got to think
3 this through because these hospitals, we're
4 talking about survival. You know, they're not
5 thinking about a capitated payment because
6 their volumes are small, and the irony is most
7 of these hospitals, when they get in a growth
8 mode, they all want to add beds, and they all
9 want to add service lines.

10 So we've got this dichotomy
11 operating all the time, and I truly don't know
12 how we break it because I've watched it happen
13 in certain markets that we happen to be in,
14 that they all want to grow, add beds, and add
15 service lines, which almost gets contrary to,
16 you know, a population-based, value-based
17 purchasing model. So, you know, how do we
18 balance that to keep them solvent and ease them
19 into the transition?

20 MR. SLABACH: Well, that's a great
21 question, and if I have the answer to that, if
22 it was easy, I think I probably wouldn't be
23 here talking to you, I would be probably on a
24 beach someplace enjoying my wealth. I think --
25 I look to models that we've used in the past

1 that have been successful. Unfortunately, they
2 haven't passed the muster of CMMI's definition
3 of beneficial program, but the Pennsylvania
4 Rural Health Model, which is the global budget,
5 was a model that started in roughly 2017, 2018
6 and sadly ended December 31st of last year.

7 And the global budget basically sets
8 forth payment to the hospitals on an average
9 net revenue for the last three years, but the
10 important piece to the model that that employed
11 was the Rural Transformation Plan, which was
12 the requirement that they would enter into a
13 discussion on how they would do exactly the
14 opposite of what you said, Jay, and that is,
15 we're not interested in building more
16 structures, obviously bricks and mortar are no
17 longer where the action is in terms of health
18 care, and we need to start looking at how we
19 reformat our services so that we move into
20 access, care navigation, chronic care
21 management, and the things that rural
22 facilities can excel at and do very well in.

23 And -- but one of the things that we
24 have discussed ad infinitum in our work is that
25 we have to preserve what we have before we can

1 move to something different, so we have to make
2 sure that we don't close 30, 40, 50, 100 rural
3 hospitals while we're figuring out this new
4 model and have these casualties along the way.

5 So I think this Rural Pennsylvania
6 Model, this global budget model, was one that
7 showed a lot of promise, and that goes back to
8 the churn notion that I said a second ago, now
9 that program has ended, out of 18 hospitals in
10 Pennsylvania that participated, I think 16 --
11 15 or 16 are continuing, in spite of the fact
12 that the program officially ended, so, and
13 that's for the Medicare-only piece of the
14 program.

15 So, I think it shows that it was
16 demonstrated to work. It just didn't meet the
17 criteria that CMMI was using in terms of
18 providing savings to the overall system and
19 being able to demonstrate that as part of the
20 requirement.

21 DR. CROW: Yeah, I might add to
22 that. I would double click on your -- and bold
23 your global budget. I mean, living in these
24 communities growing up and working in them now,
25 and it starts with what I said earlier, it's

1 really about the ownership structure and who
2 accrues the benefits of the care in the town.
3 And that really is, you know, a pillar of the
4 thriving community.

5 If we have rural hospitals that are
6 all incentivized to be owned by somebody in
7 Nashville, you know, it's just not going to
8 work, right? These are literally, we call them
9 hospitals, but I like -- they're more like
10 community centers. It needs to be where the
11 physicians, the primary care physicians, the
12 nurse practitioners, the nurses, and those
13 other acumens like you said that are doing care
14 delivery, the people in those towns are highly
15 engaged with each other. You need to leverage
16 that, leverage the power of the engagement in
17 the community.

18 And again, I agree with you, the
19 service line that you talk about and trying to
20 add things, is all about trying to pull people
21 out of that town to go get more expensive care
22 somewhere else. So you almost have to think
23 about these town -- like if you had to start
24 over, what would you create in a town? You
25 would create a community center of health that

1 has a few beds in it for sure, and has a global
2 budget. I don't have the answer how to do
3 that. I'm just telling you it's way, way
4 different, and I watch these little towns in
5 Texas die on the vine right now because of
6 their hospital is -- it's either their hospital
7 or their school district is hemorrhaging, or
8 both.

9 CO-CHAIR MILLS: All right, we're
10 going to go Larry, Jim, and then Lauran.

11 DR. KOSINSKI: Great discussion. I
12 have so many questions, but for the sake of
13 time and not wanting to dominate, I'm going to
14 start with one for all of you. Some common
15 themes have come out from all five of you that
16 the time between performance and payment has to
17 be reduced, we need to have a feasible path to
18 generate savings, there has to be the right
19 balance and risk and reward.

20 My question is, what level of reward
21 over risk would move your needle? How big of a
22 benefit has to be there? Is there a hurdle
23 rate? And can you state some successes? We've
24 heard a lot of barriers, but can any of you
25 elucidate success stories? Like, Michael, do

1 you have a nesting success story? So, I know
2 that's a couple of questions built in the one,
3 but you know, I really want to know is there a
4 hurdle rate when you're making these decisions,
5 and give me a success story.

6 MR. BARBATI: Yeah, I can start.
7 So, first your comment on the hurdle rate, at
8 least particularly for us, I think, you know,
9 I'm just looking at some stats here, MedPAC²⁷
10 found, I think, average margin for hospitals in
11 2022 was, you know, for Medicare patients, is
12 negative 12 percent. Obviously, that's
13 variable across a variety of markets, but at
14 the end of the day, payments for Medicare
15 services on the inpatient side in particular
16 are not going up, they're going down, right?

17 And so the hurdle for value-based
18 care within Medicare to put on ACO's side is
19 coming down, and I think where we've been
20 successful in pushing this -- I mentioned, you
21 know, our ACO or, you know, Medicare is our
22 biggest payer in the value-based care space,
23 one, you know, I think the incentives are there
24 where I think large integrated delivery

27 Medicare Payment Advisory Commission

1 networks struggle is really managing
2 utilization and the complexity of that, and
3 that's where I think, you know, you see a lot
4 of success in the physician outside.

5 In terms of an example of a nested
6 model, we had a -- we have several aligned
7 nephrology groups within our geographic
8 regions, in particular one larger group in
9 Wisconsin, they have previously participated in
10 MSSP, sort of felt a little bit disconnected,
11 did evaluate the CKCC²⁸ model, and opted instead
12 to participate in the Medicare Shared Savings
13 Program. They're a participant of us.

14 Based on other models and other
15 demonstration projects, we created a three-year
16 baseline for their patient panel, they got
17 about 3,000 members, and we carved out their
18 population, you know, from our MSSP, created a
19 baseline, trended those costs forward with our
20 actuarial team, and looked at their performance
21 over time.

22 A couple stats, that model's been
23 live, it was live in '24 and continues in '25.
24 From our perspective, with the practice, we

28 Comprehensive Kidney Care Contracting

1 committed a certain amount of shared resources
2 to do care management outreach, et cetera. The
3 results have been 25 percent increase in
4 transplants for kidney care patients; we've
5 seen an increase in efficiency and productivity
6 at the practice just simply by shared
7 problem-solving, both with technology and
8 working together with the group with our
9 quality improvement coordinators; and we've
10 seen about a 10 percent decrease in
11 readmissions across the board.

12 We're providing some services like
13 transportation and other things through the
14 waivers allowed in the program, and it's really
15 solved an access issue, it's solved some
16 productivity issues, and it's simply just
17 working together with that practice to focus on
18 their CKD²⁹ Stage 3 through 5 and end-stage
19 patients.

20 I think there's other areas where,
21 you know, maybe we haven't done as well, you
22 know, you look at sort of complex, you know,
23 long-term care patients, right? You know, we
24 maybe have responsibility for them, but we may

29 Chronic kidney disease

1 not be the best group to manage them, and so
2 we've evaluated, you know, you've seen a
3 couple, you know, long-term care ACOs, you've
4 seen highly complex ACO REACH entities sort of
5 come aboard.

6 I think there's an avenue to partner
7 with those folks. You can see some really
8 significant results for folks that maybe are
9 managing care outside of, you know, the four
10 walls of our hospital or the ambulatory
11 settings that we're in.

12 Those are a couple examples. One
13 last example, we believe in episodes of care,
14 and we are building our own infrastructure and
15 technology. This would be a plug for the
16 group. We think standard episode definitions,
17 such as PACES³⁰, would really alleviate the
18 burden on organizations to select one of the
19 160 groupers that are out there for a variety
20 of episodes of care. I think roughly about 75
21 percent of care can be grouped into an episode;
22 75 percent of Medicare claims can be grouped
23 into an episode. And we believe that's the
24 future, and so we've invested a lot of time and

30 Patient-Centered Episodes of Services

1 effort into building that infrastructure
2 capability within our organization.

3 MS. WALRADT: I can jump in with
4 some thoughts now, and I'll try and be succinct
5 because I know we have a lot to get through.
6 In terms of your question of that hurdle rate,
7 I'm going to be a little annoying and kind of
8 not answer it and say that, you know, these
9 things are not decided in a vacuum, and I think
10 that the greater uncertainty that exists within
11 the health system, the less likely you are to
12 be open to taking on risk. So you know, if
13 you're concerned about, like, if telehealth
14 might go away or what's happening with
15 physician payment, like, you know, those are
16 things that make your overall appetite for
17 taking risk less, and so sometimes the question
18 becomes within a given model, how much is risk
19 limited versus, like, what the game is?

20 And then an example of where we had
21 a win, I would say, when you start with a model
22 that's kind of answering a key question like,
23 what is this model trying to solve to versus
24 just kind of having the model for a sake of a
25 model that might involve certain specialists,

1 is where we see a kind of path to success, and
2 so I'd say for joint replacement bundles, you
3 know, I think those came out of 10 years ago
4 now, research showing that there's a ton of
5 variation opposed to acute care spending.

6 And so with that model we looked at,
7 like, really scrutinized what criteria do we
8 use to recommend where a patient goes
9 post-discharge and we were able to kind of more
10 standardize our process for what type of
11 patients, like, most need to go to a SNF³¹
12 versus an IRF³², versus home with home health,
13 and so I think we are able to improve the
14 patient experience but also generate some
15 savings within that model.

16 DR. CROW: And I'll add one more
17 answer to this as the primary care guy that had
18 -- you know, we have several hundred physicians
19 inside of a risk variant doing full risk on
20 about 80,000 Medicare and Medicare Advantage
21 lives. You asked about a hurdle rate. I can
22 tell you by experience I had to throw a lot of
23 things against the wall to try to figure out
24 what the physicians could get to to understand

31 Skilled nursing facility

32 Inpatient rehabilitation facility

1 how to do a prospective payment and teach them
2 to get out of their Stockholm syndrome as I
3 called it earlier.

4 And I had to have a you know,
5 pre-pay somewhat I think would be performance,
6 surplus, gain share, what you want to call it,
7 into the realm of around 140, 50 percent of
8 Medicare from their traditional Medicare rates
9 to get them to have their eyes open up enough
10 to go, wait a minute, maybe that math does
11 work. And that's what -- so that's one answer
12 to your question.

13 Here's maybe a more, or an as
14 important one, is what percentage of their
15 population that they serve can you actually get
16 into that model where ultimately, now the
17 financing model then drives a different
18 clinical model? And we have just kind of
19 crossed that line to where I would say, you
20 know, 40-ish, 50-ish percent of our physicians
21 are now being paid in a prospective payment
22 model with surplus, and it's beginning to
23 change their mind set, so our clinical model,
24 for example, now spends a ton of time asking
25 the question -- so this goes to the success

1 story -- how do we think about end-of-life care
2 where most of the expenses are?

3 It is the last thing you think about
4 in fee-for-service. I practiced for 12 years,
5 never thought about palliative, hospice, and
6 that's embarrassing to say, but it's the truth,
7 because the fee-for-service model does nothing
8 but disincentivize you to spend time on those
9 conversations. Now it's the exact opposite,
10 and we're looking at who are our highest risk
11 patients, how can we intervene on them earlier,
12 how do we think about heart failure?

13 Like, it's a different clinical
14 model, and I will tell you that physicians love
15 it now. I got physicians who say, I want to
16 practice longer because I feel engaged, and I'm
17 less burned out. That burnout word has been
18 around forever, and I'm trying to get rid of
19 it, and this is actually our path to freedom,
20 is what I tell them, is when you get on a
21 subscription model.

22 But you can't do it at 5 or 10
23 percent, you got to push all the way, which
24 goes back to Chase's point that I didn't make
25 that I'm glad he did, is unless you have a

1 multi-payer alignment of these models to some
2 extent, it's going to be really, really hard to
3 get to that. It took me years to get to where
4 we are today.

5 MR. SLABACH: One of the things I'll
6 just quickly mention, it's not necessarily a
7 hurdle, but in the Pennsylvania Rural Health
8 Model, which featured the global budget, that
9 was a multi-payer program, so it was Medicare,
10 state Medicaid, and then also, two large, you
11 know, three large insurance companies,
12 commercial insurance companies participated.
13 And I think that was a huge benefit to the
14 facilities to align all of their incentives,
15 both financially and in terms of quality
16 reporting, so that they're all aligned along
17 the same pathway in terms of incentives.

18 And it kind of goes to my motto is
19 that incentives matter, and how providers are
20 incentivized to provide care is exactly how it
21 will be provided. I know as a hospital
22 administrator, going back into the good old
23 days, I call them now, I knew how to titrate a
24 response to my financial situation by exactly
25 what was mentioned earlier by Jay, and that's

1 increasing service lines, making more
2 profitable services available to members of my
3 community, but that's no longer what our
4 communities need. And I think that this
5 incentive to provide those differences through
6 models like the global budget really provide, I
7 think, the necessary inputs to be able to help
8 make that happen.

9 MR. HAMMON: Yeah, I think for me,
10 you know, Larry, when you asked what the, you
11 know, some CFOs what the hurdle rate is, it's a
12 dangerous question. But, you know, Dr. Crow
13 throwing out the first number, look, I think
14 Dr. Crow's right, right? The model has to
15 match the incentive, but on this call we're
16 talking a lot about what are the barriers.

17 And for our physicians, the barriers
18 are the bigger issue as opposed to the risk
19 versus reward. I mean, I'm sure there's a
20 titer point there somewhere, but it's the data,
21 the cash flow, right, the trust in the data.
22 And I think Mike made a great point, you know,
23 when there's five to seven different, you know,
24 VBC plans, each one's looking at, you know,
25 something different, right?

1 And so now you got to manage several
2 different plans. It's -- the burden's just
3 significant. So I would say just for us it's
4 less about the hurdle, whether it's 150 to 200,
5 it's more about, how do we remove the barriers
6 because again, to Dr. Crow's point, the model's
7 there, we just have to figure out how to
8 operationalize it.

9 DR. KOSINSKI: Thank you.

10 CO-CHAIR MILLS: We'll go Jim and
11 then Lauran and then Henish.

12 DR. WALTON: Thank you. Thank you
13 all for taking the time to talk with us. I
14 want to kind of just take us back a moment and
15 say, part of our conversation over the next
16 couple days is to think through, number one,
17 the barriers that you all are articulating, but
18 also maybe a new pathway that could be created
19 that address some of the key barriers that
20 you're identifying that would incentivize
21 increased participation.

22 And I was struck by the particular
23 area of interest Chris brings up as being
24 someone who came from a small town. I'm someone
25 who practiced in a small town in Texas, and the

1 rural health Critical Access Hospital
2 intersection, around our core infrastructure
3 for, you know, a significant portion of the
4 population in the United States, as a way to
5 illustrate the idea of pathways that could
6 attract large systems or large successful
7 organizations like those represented on the
8 call in this discussion here.

9 So you mention kind of the
10 multi-payer strategy that has had some effect
11 and might actually be able to entice, if you
12 will, successful organizations like yourselves,
13 to move toward collaboration with Critical
14 Access Hospitals that are struggling.

15 And Chris, I maybe want to have you
16 start with this because I know you, is this
17 idea of if it actually collapses like we think
18 it might, or it already has in parts of Texas
19 or, Lee's from Oklahoma, how would you go in
20 and say, well, what type of incentives in a
21 pathway related to an APM that you're
22 participating in would want you or encourage
23 you, and Northwestern and Duly, you know, and
24 Advocate, same question, to move toward taking
25 your skill set, your energy, your passion, your

1 knowledge, your experience, and really lifting
2 up in some type of partnership, what would you
3 need in that pathway to reduce the barriers
4 that you already know that are out there
5 because the thing is either on the verge of
6 collapsing or is already collapsed and now we
7 have to go rebuild it?

8 So, I'm going to -- and I think that
9 you got me started thinking about this
10 multi-payer alignment as kind of, like, a key
11 solution set, but I thought maybe we'd just,
12 I'd open that up, the whole question up and see
13 what y'all -- what your opinions might be.

14 DR. CROW: I think you asked me to
15 go first. First, I like the beard, Jim. I
16 hadn't seen that. Good add. Secondly, I guess
17 I think your question was, what would attract
18 someone like any of us to go into a rural
19 community that's struggling? Like, what would
20 be some of the characteristics that would have
21 to be present to go in there and help? I think
22 was the question. Am I right or, similar? Am I
23 right?

24 DR. WALTON: Yeah, you're on it. As
25 an illustration of these pathways we would like

1 to talk about, yeah.

2 DR. CROW: The one thing -- like,
3 and we've mentioned it multiple times already,
4 is like, the rural communities have a higher
5 density of Medicare and Medicaid. That
6 actually simplifies, potentially, what you
7 could do. The other thing that I said was
8 they're very engaged, so I actually have very
9 good conversations with, you know, school
10 districts in cities and counties which are
11 generally the largest employers in those
12 smaller communities, so you could actually get
13 some type of alignment through if you had one
14 government kind of connection, plus a little
15 bit inside the big three in the town, you can
16 almost create, again, a single budget.

17 Now, the other thing you said, like,
18 what else would you need? I would need time.
19 You can't -- this can't be a year to year, this
20 has to be a multi-year, call it five-year
21 investment of what it would take to figure out
22 each community's needs because they're
23 different, they can be different, and what
24 capabilities you would need to bring in terms
25 of acumen.

1 So there's an alignment of a single,
2 a singular, you know, multi-payer but singular
3 model you have to have, you -- it'd have to
4 meet a global budget, and you'd have to have
5 some time, and then you'd have to agree upon,
6 you know, what are the two or three main
7 metrics we're trying to do to help save that
8 community? And the first thing that comes to
9 my mind is whoever owns that hospital may or
10 may not have the same alignment to what you're
11 ultimately trying to do, and how would you
12 conquer that?

13 So, those are just my first
14 thoughts. It's something like you said, Jim,
15 you and I are both passionate about, and I find
16 it incredibly difficult to think about how we
17 could do that. That's my first thoughts. I'm
18 sure these other people will have better ones.

19 MR. BARBATI: Yeah, I would just
20 comment, I think Dr. Crow said it very well. I
21 think there's some elements of this in the
22 AHEAD³³ Model, right, which is, you know, just
23 getting ready to kick off. I think there's

33 States Advancing All-Payer Health Equity Approaches and
Development

1 some elements of it in the Geo³⁴ Model that came
2 out of CMMI that was ultimately cancelled and
3 -- and is maybe getting steam again. I think
4 it's a combination of probably both of those,
5 plus some acknowledgment or some focus around,
6 you know, the communities that are -- that are
7 struggling and some sort of, you know, benefit
8 or support for them that's a little bit more
9 direct so that organizations that are serving
10 this larger geographic area are focused on, you
11 know, what the intent of the model is,
12 particularly for these rural communities.

13 CO-CHAIR MILLS: Okay. Next is
14 Lauren.

15 MS. HARDIN: I'm really appreciating
16 all of your comments. I spent a lot of time in
17 rural environments helping people stand up
18 systems of care for complex populations but
19 also have been involved in implementation of
20 ACOs and multi-state health systems in urban
21 areas. There's a couple of areas I'd love to
22 hear you comment on. They lean more towards
23 rural areas, but I think they apply as well in
24 urban. So I'm curious what policy

34 Geographic Direct Contracting

1 flexibilities you think are essential around
2 core components like telehealth, mobile health
3 care, virtual care management, and innovations
4 in payment for transportation for success in
5 population-based total cost of care models.
6 And maybe start with, I can't see him right
7 now, the National Rural Health Association and
8 also Chris and then go around the room.

9 MR. SLABACH: Well, thank you. It's
10 Brock here. Yeah, I think you raise a very
11 good point. I mean I think that these new
12 modalities around connected care are really
13 critical pieces to fit into this puzzle. I
14 think that a lot of our members, a lot of our
15 providers around the country are frustrated
16 with the fee-for-service arrangement around at
17 least telehealth at the moment. Frustrated
18 that the payment isn't on par with their
19 requisite provider type. So if you're a
20 Federally Qualified Health Center, the
21 reimbursement for the telehealth service is
22 less than what you would get under PPS³⁵ and
23 same with the Rural Health Clinic.

24 I think that where we would come in

35 Prospective Payment System

1 on this is that we want to get parity on the
2 services, so that again preserving what's --
3 what we have now so that we don't endanger it
4 going forward. But I think that as a -- as now
5 putting on my hat as a provider in simplicity,
6 I think that these connected care modalities
7 are going to have to be incorporated into a
8 value-based care model so that this fee-for-
9 service arrangement isn't going to incentivize
10 once again behavior that may not be exactly
11 what we want in this new paradigm.

12 So we're at this crossroads now
13 where we're kind of inching into now, of course
14 artificial intelligence is a whole other
15 conversation that's going into the space here.
16 And I think that, that's a critical feature.
17 So we need to get parity with the payment, at
18 least in the rural provider context as we start
19 to incorporate those more completely into the
20 value-based care paradigm so that we're not
21 creating another monster that we someday have
22 to have a whole conversation like this group is
23 having on how to fix it.

24 DR. CROW: Okay, I think you named
25 me next in line. So here's how I think about

1 this -- these, you know, telehealth go back to
2 even CCM³⁶ that I helped design years ago. You
3 know, good ideas to kind of move us in, but
4 when it's in a fee-for-service world, all the
5 sudden there's telehealth companies, all the
6 sudden there's CCM companies. You give a code,
7 and you create a company RPM³⁷ now. So again
8 from the primary care physician standpoint who
9 I believe has a longitudinal relationship with
10 their patients and that is actually where the
11 ROI³⁸ happens. The relationships compound the
12 same way interest compounds. You make tiny
13 decisions year after year that add up to big
14 decisions on people's health. And you do that
15 across a population and that helps their
16 overall health.

17 So I go back to, I don't use the
18 word capitation, I go back to we really to be
19 able to have subscription models. How would you
20 do that? You could do it at the government
21 level like I already have with all my Medicare
22 and Medicare Advantage. From a commercial
23 standpoint, if we could unbundle, you know,

36 Chronic care management

37 Remote patient monitoring

38 Return on investment

1 primary care shouldn't be your insurance.
2 Insurance is when bad stuff happens. Primary
3 care is stuff that happens all the time.

4 So how do you unbundle that and make
5 primary care something that's tax deductible
6 for the individual the same way it is for the
7 employer? And whether it's their deductible,
8 their FSA³⁹ or their HSA⁴⁰ or whatever it is, the
9 benefit design of the day encourages the
10 patient to actually have a longitudinal
11 relationship with their PCP⁴¹ in a tax-
12 deductible format. And therefore you don't
13 start counting ticks and ties of do they have
14 this RPM? How many times did they use it? And
15 what is this telehealth code? And oh my gosh,
16 I can only get paid if they're in my 10x10 exam
17 room with the crinkly paper and the old
18 magazines. Like it opens up a delivery model,
19 like what do you need to do to take care of
20 them?

21 And the center of health care moves
22 from that little office into wherever the
23 patient is and you build your delivery around

39 Flexible Spending Account

40 Health Savings Account

41 Primary care provider

1 that, which is what I've been able to do in
2 Medicare to be successful within the last few
3 years because we got the delivery model that we
4 wanted. But it was -- it's a lagging indicator
5 of a financial model that allows us to actually
6 do that. So that would be what I'd say we
7 need.

8 MR. HAMMON: I think there's an
9 aspect of kind of this telehealth delivery that
10 we haven't really talked about and it's at
11 least in rural communities. So Springfield is
12 a couple hours south of Chicago. So we deal
13 with it less at Duly than we did in
14 Springfield, but Quincy was just part of Duly
15 as well. Like shortages in clinical care
16 providers. Right? So both physicians
17 absolutely in those communities, but also MAs
18 and nurses. Right? So the ability to get paid
19 for telehealth services, right, to allow our
20 MAs and our nurses to connect with patients
21 when they're at home or in other areas, it's
22 going to be critical moving forward for these
23 smaller communities to be able to connect with
24 their patients. Which to Dr. Crow's
25 point, and this is something we talk about at

1 Duly all the time, that doctor-patient
2 relationship is sacred, and we have to preserve
3 it. It's not something that, and I don't mean
4 to speak pejoratively of like Amazon Care or
5 whatever, but a patient in rural Springfield
6 isn't going to want to have a relationship with
7 a doctor, you know, 1,000 miles away. That
8 relationship is sacred. So telehealth is
9 important, but it's important within a
10 community.

11 MS. HARDIN: Great comments. And
12 the longitudinal component too. We've
13 consistently heard how important that is.
14 Would anyone else like to comment? I'll hand
15 it over to you.

16 CO-CHAIR MILLS: All right, I'll
17 pitch to Henish and then we'll move on to the
18 next question.

19 DR. BHANSALI: So this is a question
20 for all five of you. We've talked through
21 quite a few meaningful barriers and
22 participation from each one of your
23 organizations. I'm curious, just taking a look
24 at that 2-by-2 grid of high value, low value,
25 high lift, low lift, what are some -- and each

1 one of you represent a different sector, a
2 different type of organization. So what is
3 that higher value, lower lift more immediate
4 change that can be made in these models to help
5 move your organization more towards population-
6 based total cost of care and improved patient
7 outcomes, et cetera? I mean for example,
8 Jessica, you gave the example of the 10 NPI,
9 like that's a very specific change that can be
10 made to help progress that. What would that
11 sort of an example be, one or two for each one
12 of you?

13 MS. WALRADT: While people think
14 about that, I'll just add on that's something
15 else that I think is easy for CMS to offer is a
16 longer implementation time frame for models.
17 So I know for us when a new model comes out,
18 I'll take the GUIDE⁴² Model for example, we did
19 a kind of quick assessment of it to see where
20 our gaps being able to satisfy all of the
21 requirements and you know, what's the ROI, the
22 lift to fill those gaps in the timeline? And
23 we're basically like oh, we have so many
24 competing priorities right now, like you know,

42 Guiding an Improved Dementia Experience

1 like we don't really -- we aren't going to be
2 able to devote the resources to that right now.

3 And I think like a good example, and
4 I know a lot of people have issues with the
5 team model itself, but the fact that unlike
6 past bundle payment models, CMS gave over a
7 year lead time for that model. Like that kind
8 of lead time is very helpful and I think a
9 pretty easy thing to grant now. So not
10 commenting on the team model itself, just the
11 lead time for it.

12 DR. BHANSALI: So both the lead and
13 the duration?

14 MS. WALRADT: Not the duration of
15 the model, the lead time up to it. So when you
16 know about it to when you actually like press
17 go and payments start changing and you have to
18 start reporting data and all that.

19 MR. SLABACH: This is Brock here and
20 thanks for the question at issue. I think that
21 the high value would be, and I would go to the
22 word simplicity, a model that is simple in
23 terms of understanding and is creation a
24 design? That usually tends to be more elegant.
25 I hate to come back to the global budget, which

1 is a part of the AHEAD Model and to Jessica's
2 point, they did do a 10-year horizon on that
3 model. So they've learned, I think in terms of
4 the duration of the model to make sure that the
5 reporting period upon which success or
6 non-success will be determined has a longer
7 running period to be able to make that
8 judgment.

9 One of the things that I think that
10 could be done and is being -- and is important
11 in this sense is providing technical assistance
12 for the implementation of these programs would
13 be incredibly powerful to be able to have a
14 lead time in application for consultants, which
15 unfortunately would usually fall to them, to
16 help and guide facilities in their applications
17 to these programs because I think these are
18 very difficult applications to fill out and to
19 complete and think through the entire model.
20 So I think -- I think technical assistance
21 could be very helpful to a rural independent
22 facility looking at some of these models.

23 DR. BHANSALI: So Brock, before you
24 go on, maybe just to clarify a couple of
25 things. Are you implying that technical

1 assistance from CMS to the people who are
2 looking to participate in the models or just
3 enough lead time so that the participants can
4 engage with another entity to help figure out
5 whether or not they should participate in the
6 model?

7 MR. SLABACH: I think both frankly.
8 But I think when I say technical assistance,
9 I'm not necessarily meaning from CMS, but
10 allowing for the engagement and perhaps
11 providing resources to the facility to engage
12 consulting to help them with this. Because
13 again, we're going back to this model where one
14 of the barriers is the facilities are not well
15 resourced, both in terms of leadership and in
16 dollars. And so they don't have an outside or
17 a department for strategic analysis or all of
18 the things that we're talking about here, so it
19 all has to be accomplished with the existing
20 infrastructure of these facilities. And often
21 there's a will, but there may not be of the
22 means. And I think that's what I'm referring to
23 here in terms of the application process. But
24 once you get into the program, I think it's
25 really important.

1 And then like in the AHEAD Model, it
2 depends upon state participation. So even if
3 you're an individual facility that wants to
4 participate, there has to be a regional or a
5 state entity that organizes the grant
6 application to CMS. So that makes it really out
7 of reach for many. And just using that as an
8 example. And I use that for the 10-year
9 horizon on the demonstration, which I think is
10 really a good period of time to evaluate its
11 effectiveness.

12 DR. BHANSALI: Is there a model that
13 you found, Brock, that is pretty, I guess
14 simple? I mean not as simple as you would want
15 it to be, but it's getting closer to the type
16 of model you would want to be. And maybe a
17 couple of tweaks that would get it to a place
18 which will substantially increase adoption?

19 MR. SLABACH: Did you say AHEAD
20 Model, Henish?

21 DR. BHANSALI: No, just any model, I
22 mean that you found.

23 MR. SLABACH: Oh.

24 DR. BHANSALI: Yeah.

25 MR. SLABACH: I think that -- well,

1 the AHEAD Model is actually a pretty good one
2 because the problem with it was it wasn't
3 exclusive to rural. And so I think there was
4 some concern from some states about a mixed
5 participation between facilities and different,
6 you know, urban, suburban, and rural, and I
7 think there was some hesitation there if I'm
8 remembering that correctly. But I think that,
9 that incorporated a global budget, a physician
10 compensation piece, and then a total cost of
11 care wraparound. So it had three elements that
12 I think would be important for a rural
13 community to be able to evaluate. But again, I
14 go back to the technical assistance that's
15 needed for it's a rural facility participating,
16 being able to understand the impact and assess
17 its value.

18 DR. BHANSALI: Thank you.

19 DR. CROW: I'll go. Actually, I
20 have a hard time with this question, Henish,
21 because a little effort for one might be high
22 effort for another. And I think, you know,
23 what Brock just said, like it's a high effort
24 for a group to be able to create the
25 capabilities. Or if we say hey, we want the

1 government to provide the technical assistance,
2 and so it's a little effort on the community to
3 do that because it's going to be given to them
4 and it's high effort on the government. Right?
5 So whose effort is something that I'm playing
6 with in my head.

7 DR. BHANSALI: Just to clarify, Dr.
8 Crow, for your specific type of structure,
9 model, et cetera, what would be the lower lift,
10 higher value thing that can improve the work
11 that you're looking to do to progress into
12 total cost of care?

13 DR. CROW: Yeah. Yeah, I don't have
14 a -- let me just say this and then I'll shut up
15 because I don't have a great answer for you in
16 this one, but I do have an analog that I think
17 about. If, like we as a government really
18 think that this value-based care thing is
19 important, then we've got to go all in, maybe
20 differently.

21 And I'll go back to like 2004 when,
22 you know, we decided that everyone was going to
23 have an EMR⁴³. Think about that. In 2004,
24 people were fighting it. I don't want it. I

43 Electronic medical record

1 don't want it. And only 15 percent of the
2 country had it. We decided to create -- David
3 Brailer comes on, we create -- we create the
4 regional extension centers. We go full board.
5 In four years, we get to 80 percent. So I
6 almost say that like this -- you all are
7 talking and Jessica talked about hey, I need to
8 go -- in needs to be slow and we need this 10
9 years, and I'm like -- I sit there, I tell
10 myself yeah, I agree with that. And then I
11 say, you know what? Until we like really
12 decide and go all in, then it won't -- it will
13 always be this little iterative stuff.

14 You know, we're here in 2025 talking
15 about value-based care, and I started in value-
16 based care in 2006. You know? And so until we
17 like really say we're all in and create the
18 national infrastructure to do that, that would
19 be what would become simple for all of us to
20 say all right, well I guess we're going to do
21 it. And all of the sudden, we have EMRs, and
22 we can all talk about whether that was good or
23 bad. I think we all can agree that it's better
24 than the paper charts that are taking up, you
25 know, closets. Sorry for that.

1 MR. BARBATI: No, I agree with that.
2 I mean my comment was going to be sort of right
3 on the quality measures in the Medicare
4 program. You've got eQMs⁴⁴ that are, you know,
5 all payer or multi-payer. You know,
6 multi-payer database and/or model with
7 specialty and primary care models that can
8 expand across payers, I think will move the
9 dial. There are -- even ourselves, we have so
10 much infrastructure tied up in trying to meet
11 the demands of individual contracts for
12 different primary care mechanisms and different
13 quality measures and different things, that if
14 we could rechannel that infrastructure into a
15 singular model where every patient gets -- you
16 know, deserves to get the same science. And
17 the way to deliver that science may differ by
18 locale in that last mile, then I think we're
19 actually to move the dial.

20 Now I realize that, that's a heavy
21 lift, but it could start with some incremental
22 changes like a multi-payer database, some
23 multi-payer methodologies that expand across
24 the board. You know, one small foray into that

44 Electronic clinical quality measures

1 is sort of shadow bundle reports that are going
2 to ACOs, but that's enough. Right? Like
3 that's a small foray that people who, you know,
4 are sophisticated and can have the time to do
5 that work and build a model around it can do
6 it, but it needs to go a step further.
7 Methodologies, risk adjustments, all that sort
8 of stuff could be standard across payers. And
9 then we could really stop wasting time meeting
10 some of those demands and start focusing on the
11 patients and the improvement efforts.

12 MR. HAMMON: Sure. I mean something
13 simple, I think that could help some of our
14 groups with aligning, you know, attribution,
15 risk. In models like ours, you know, that
16 first-year patient is significantly more
17 expensive, significantly more a draw on
18 profitability. And so, you know, if we're
19 thinking simple solutions, that would allow
20 groups to consider joining, right, if that
21 first-year cohort, can we do something with the
22 risk for that group? And then, you know, as
23 they move through care management -- a good
24 care management process, right, that
25 profitability gets there. Year one is a draw.

1 You know, something simple as reducing the risk
2 on that first-year cohort would be a potential
3 solution.

4 DR. BHANSALI: Thank you so much.

5 CO-CHAIR MILLS: All right. Walter.

6 DR. LIN: Thank you, Lee. Great
7 discussion. Thank you everyone for sharing
8 their expertise. My question, I'm going to
9 take it down a level and talk about what I
10 refer to as the last mile problem in diabetes
11 care. And that is kind of what Chris referred
12 to in terms of having enough financial
13 incentives to change clinical behavior. Right?
14 So let's just say you have a value-based
15 organization that has a great care model.
16 You're achieving shared savings. My question
17 is to different organizations represented
18 around the table here, how do you get those
19 shared savings to the frontline clinician to
20 sustain their continued change in behavior? I
21 think probably a lot of the answer might lie in
22 what Chris discussed around the importance of
23 ownership and governance. But I talk to a lot
24 of physicians who say, you know, I'm doing what
25 you asked, but I'm not seeing any of the

1 benefits. And so, you know, I'd love to hear
2 kind of some more tactical suggestions and
3 recommendations around what best of class
4 organizations are doing to sustain the change
5 in clinical practice patterns under diabetes
6 care.

7 MS. WALRADT: I'll start. And
8 there's lots of different shared savings
9 distribution methodologies and so I'm sure
10 there's, you know, other panelists could
11 probably speak to those in great detail so you
12 can go that route and of course tie
13 distribution of savings, different quality
14 measures. You can even look at individual
15 physical-level benchmarks that are risk-
16 adjusted if you like. But at Northwestern
17 where, you know, the majority of our physicians
18 are employed, we do have financial incentives
19 tied to specific measures. But one thing we're
20 increasingly looking at is pointing out what
21 we've already invested in that they feel every
22 day. So like an AI scribe for example.

23 Different things that hopefully
24 enable them to practice at top of license and
25 remove some of those kind of daily like pebble

1 in the shoe type things. To looking forward to
2 the kind of bigger scale investment that we
3 would like to make that would make both their
4 days easier, but also really help their
5 patients, so social workers, pharmacists
6 dedicated to clinics, things like that, that
7 are frankly a win-win for everyone. Good for
8 the patients, good for the physicians, and also
9 would contribute to the success of our VBC
10 contracts.

11 So aside from the kind of classic
12 shared savings distribution models, we're
13 looking at those infrastructure investments
14 that hopefully enable our clinicians to just
15 better practice at top of license. And I think
16 we give them direct dollars for some of the
17 things that are like the extra click so to
18 speak that really do not feel like top of
19 license, but we can at least tell you like hey,
20 we recognize this is extra work on your part,
21 so we are recognizing that financially.

22 MR. HAMMON: I think to one of
23 Brock's earlier points, as models change how we
24 -- how we compensate, you know, the physicians
25 change. Right? How the models iterate every

1 couple of years, right, really throws a wrench
2 in how compensation to physicians' work and
3 really how we incentivize their activity. You
4 know, one of the things that we're
5 contemplating at Duly is how do we -- you know,
6 there's such a dichotomy, right, between the
7 BBC business and the fee-for-service business
8 and how we operate it. How do we break that?
9 Right? How do we -- how do we look at some of
10 Dr. Crow's points, right, the work that we're
11 doing for VBC patients really would benefit our
12 commercial patients as well. And so can we
13 create standard models that work, right, across
14 there. And you know, we begin our 5 percent, 10
15 percent of VBC patients five to 12 times a
16 year. Or if we had an approach where we looked
17 at our commercial patients that way and had a
18 series of services that we provided to them,
19 maybe it's on a subscription model. As we
20 think about the clinical care model and
21 operationally through a clinic, right, treating
22 every patient more similarly, I think really
23 helps the physician practice, again, back to
24 payers.

25 DR. CROW: I'll give you kind of our

1 perspective and give you a specific. So like
2 70 percent of dollar that comes in go to the
3 physicians. It's been that way with us for
4 over a decade and that's just the way we
5 operate.

6 Secondly I would say, and Jessica
7 didn't say it this way, but - by the way, I'm a
8 family physician by training and I haven't seen
9 a patient in over a decade, so I'm really
10 useless in that way, but I still do carry that
11 history, which allows me to say things about my
12 fellow physicians that some people don't want
13 to say if they're in an administer role. But
14 it's constantly a what have you done for me
15 lately? Right? And you have to remind them,
16 to your point, that Jessica said, like of the
17 things you're doing for them, you know,
18 quantitatively and qualitatively because they
19 care about three things in differing order,
20 depending on who they are and the time in their
21 career. But they care about their time, they
22 care about their money, and they care about
23 their ego. Okay? And so even family
24 physicians have ego. Not as much as
25 orthopedists, but it's there.

1 So how do you address that? Knowing
2 that, how do you address that on a regular
3 basis? And so we have a very consistent
4 intentional educational process that we -- that
5 we use and are always iterating on to be close
6 to that physician to make sure we're educating
7 them. Again, I'm in a different stage now
8 because I've now gotten to where they are ready
9 to change the clinical model because they
10 understand the clinical model drives the
11 financial model for them. Seven years ago,
12 none of my doctors wanted to take Medicare.
13 Now they're asking me, can I stop taking
14 commercial for all the reasons you guys have
15 been saying that it's too hard to manage all
16 these things and now the commercial payers
17 haven't paid me any more in the last five
18 years. Right? And so it's a very different
19 mentality, but it comes with consistent
20 education around that.

21 And then the second one is there's
22 still the cash flow issues. So as we've been
23 able to perform, we've been able to get
24 contracting in ways that brings more dollars
25 into the forefront so we can connect -- begin

1 to connect the clinical activities to the
2 financial in what used to be 18 months, I got
3 it down to six to nine. And then if I can
4 bridge the gap a couple more months, it's not
5 as -- you know, physicians still have a hard
6 time in reporting just even though they're paid
7 30 days later in fee-for-service. When I get
8 them their reports, they're like I'm busy right
9 now. Why is this not more? I'm like because
10 you were on spring break six weeks ago.

11 So you know, it's hard for
12 physicians to be able to match times and
13 dollars. And you have to constantly --
14 constantly be able to tie those together. And
15 what we do now is we show them, you know, here
16 are the activities in value-based care that are
17 the most important. It's senior high-risk
18 patients. It's hey, how are we doing on
19 medication management or current disease? Hey,
20 what are we doing in the live care? What about
21 our patients that have, you know, end-stage
22 renal disease? What are we doing? I mean it's
23 getting kind of into the right dialogue around
24 their population, rather than them going around
25 the wheel of their exam rooms -- you know,

1 every three exam rooms. And that takes time,
2 especially when you're someone like us. One of
3 the few that are really pushing the envelope
4 because the national strategy has not gotten to
5 what I asked for earlier, which is let's go all
6 in all this. And so we're constantly swimming
7 upstream trying to slowly, but surely just take
8 little nicks out of it year by year and survive
9 in advance.

10 MR. SLABACH: And I'll just quickly
11 add - this is Brock here -- that I don't think
12 it's just the physician. It's also the
13 advanced practice providers, the care teams.
14 All of the way in which we're organizing care
15 now is towards a team-based care going to your
16 last mile analogy. And I think that we need to
17 be adding value to the teams that are assigned
18 around each primary care physician. And then
19 having those rewards, I guess, distributed to
20 everyone within the team for the care of the
21 patients that they have on their panel. And I
22 think - and it may be in this environment
23 moving ahead, it's not really so much about
24 encounters on a daily basis, but your panel
25 size and how you manage a group of patients

1 versus just them individually in an encounter.
2 And that's a whole other way of thinking going
3 forward.

4 CO-CHAIR MILLS: Okay. Thank you so
5 much for those hard lessons and pearls for the
6 Committee and such rich conversation. It is
7 now 12:10. I'd like to thank all of you for
8 joining us this morning. We really appreciate
9 and respect your valuable time and wisdom.
10 You've helped us cover a lot of ground this
11 morning. I've got pages and pages of pearls,
12 and I'm sure my fellow Committee members do
13 too. You're certainly welcome to stay the rest
14 of the day and listen to as much as you would
15 like to. But at this time, we're going to have
16 a lunch break until 1:10 Eastern Time. Please
17 join us back at 1:10. We have a great set of
18 experts for our first listening session, which
19 focuses on reducing organizational-level
20 barriers affecting participation. Thank you so
21 much. I appreciate your investment today. We
22 are adjourned until 1:10.

23 (Whereupon, the above-entitled
24 matter went off the record at 12:12 p.m. and
25 resumed at 1:10 p.m.)

*** Listening Session 1: Reducing**
Organization-Level Barriers Affecting
Participation in PB-TCOC Models

DR. BHANSALI: Welcome back. I'm
Henish Bhansali, one of the PTAC Committee
members. At this time, I'm excited to welcome
four amazing experts for our listening session
who will share various perspectives on reducing
organization-level barriers affecting
participation in population-based total cost of
care models. You can find their full
biographies and slides posted on the ASPE PTAC
website.

At this time, I ask our presenters
to go ahead and turn on your video if you
haven't already done so. After all four
experts have presented, our Committee members
will have plenty of time to ask questions. The
full biographies of our presenters can be found
on the ASPE PTAC website, along with our other
materials for today's meeting.

So I'll briefly introduce our
guests. Presenting for us is Dr. Clif Gaus, the
Past President and Chief Executive Officer of
the National Association of ACOs. Welcome

1 Clif.

2 DR. GAUS: Thank you. And I think
3 we're about to begin here, so let me just run
4 through my brief number of slides. I have more
5 slides in the deck than I'm going to use. So I
6 want to first introduce everybody to NAACOS⁴⁵.
7 It is certainly the spokesperson for the
8 majority of the ACOs in the country, over 500
9 members and serving 9.5 million, 13 million
10 beneficiaries in the ACO Program, both CMMI and
11 the MSSP programs. We like to think of
12 ourselves as three-legged, Thought leadership,
13 education, and advocacy. And I should point out
14 that NAACOS is member-owned and
15 member-governed, and it's a nonprofit
16 association of ACOs.

17 Next slide. So this is kind of my
18 depiction of where we have been. And over a
19 decade, certainly the ACO model has grown from
20 the beginning to now over 13 million
21 traditional Medicare beneficiaries in the
22 models, over 700,000 clinicians. And a pretty
23 good record, though not as significant a
24 savings record as those of us who were in the

45 National Association of ACOs

1 early years of creating the program thought
2 would occur. But it is one of the -- it's
3 probably the only Medicare value model that has
4 consistently shown savings over the last 10 or
5 11 years. And those savings are, like I said,
6 not what all expected, they are not
7 insignificant with gross savings of over \$28
8 billion not counting the 2024 experiences,
9 which are substantial as well. And 100 percent
10 of the ACOs have met the quality standards.

11 Next slide. I'm going to talk
12 mainly about benchmarks, but I wanted folks to
13 kind of see my perspective on sort of what the
14 adoption challenges have been. And basically
15 there still is significant misaligned
16 incentives sometimes for certain physician
17 groups remaining in the fee-for-service mode
18 can still be the stronger financial option.
19 There's a huge investment required to
20 transition to value and even a bigger
21 investment needed to participate in one of the
22 federal programs just because of the overhead.
23 There's burden associated with the quality
24 reporting in those programs. And the last
25 probably impediment is the whole issue of

1 benchmarks, which I'm going to devote most of
2 my remaining two minutes here on.

3 Next slide. The benchmark challenges
4 that have stalled participation include setting
5 -- well first of all, the goals are to set the
6 budget for treating patient populations and
7 getting a historical spending, the start point
8 right has been obviously a big challenge.
9 There's also the accounting for individual
10 patient factors. No ACO, no physician group
11 treats the same kind of patients, and those
12 factors affect the cost tremendously. And
13 therefore, trying to adjust for those has
14 really been an important and significant
15 challenge. And then once the benchmarks are
16 set, accounting for the changes in spending
17 patterns are always a challenge. And we have a
18 whole range of methods that adjust for those,
19 but they're not perfect by any means.

20 Next slide. This right here, it's a
21 portrayal. I'm not going to go through the
22 details of it. But the two major programs,
23 kind of care are MSSP and ACO REACH. And we
24 put in this chart sort of also MA because
25 there's been a lot of discussion of recent

1 about -- of how can there be a more level
2 playing field between the value-based care
3 programs of Medicare, MSSP and REACH, and MA.
4 And as you know, from more recent literature,
5 but also literature from as long ago as 10
6 years, the MA program has prospered --
7 prospered well. It is the dominant program in
8 Medicare now. And however, it is that program
9 in large part because of the subsidies that are
10 inherent in the MA financial model. I won't go
11 into those in detail, but there is a need over
12 the long term and maybe we can discuss this in
13 our conversations later, about what some of
14 those changes might be implemented to provide a
15 more level playing field for both patients and
16 providers.

17 Next slide. So in terms of
18 benchmark challenges, the three components of
19 the benchmarking are one, setting the actual
20 start point, and it's not as simple as everyone
21 would think. Traditionally it had in almost
22 every program, it is finding out -- determining
23 that historical spend for that group of
24 patients for whom the ACO is responsible for.
25 And that also includes over the long term, and

1 I'll mention this a little bit longer, the
2 ratchet effect. And where that has now become
3 a major impediment for new ACO growth and for
4 continuation of some ACOs in the program.

5 The MSSP program has implemented a
6 variety of adjustments over time in their
7 policies to adjust for changes in spending,
8 regional adjustments, prior saving adjustments,
9 trend factors. And mentioning trend factors,
10 the perspective trends create certainty, but
11 they are also inaccurate. And no one can
12 predict precisely what our costs are going to
13 be next year, nor for the next five years,
14 which the newest of the MSSP models have
15 incorporated a factor in ACPT⁴⁶, Accountable
16 Care Perspective Trend that locks in a trend
17 for new contractors, new contractees for five
18 years. And as we found in our recent analyses,
19 this estimate presumably is significantly off
20 -- for 2024. And ACOs are in a position here
21 where they're going to be potentially
22 significantly harmed by just an inaccurate
23 estimate that's getting locked into the five
24 years of spending.

46 Accountable Care Prospective Trend

1 And then lastly, the risk adjustment
2 component of benchmarking is a -- is probably
3 one of the largest differences between MAs and
4 the Medicare accountable care models. HCC⁴⁷
5 scores are used in both programs, but there are
6 caps that are on the accountable care entities.
7 There are caps on rising scores, and those are
8 not the case in MA. And that has been one of
9 the attributing factors to why MA has become
10 such a big growth -- in a growth pattern and
11 the preferred financial model in Medicare for
12 both -- from physicians and participation.

13 So I think that wraps up. In
14 conclusion, I do have one more slide. How do
15 we improve the program? Well, we need to make
16 the benchmarks more predictable and stable. We
17 need to allow for adjustments when the
18 predictions fail, and this is debate we're
19 entering into now. My colleagues at NAACOS
20 have before them a major challenge to
21 communicate with the new administration about
22 how to fix this misalignment that occurred in
23 the estimates for the 2024 reconciliations.
24 And certainly to provide the ACOs a more level

47 Hierarchical condition category

1 playing field with MA. Also improving the
2 business case in general to grow the
3 beneficiaries in traditional Medicare into the
4 accountable care models.

5 And then lastly, a better way of
6 increasing the inclusion of past savings into
7 new benchmarks to avoid this, I call it the
8 death spiral. It's really -- it's a ratcheting
9 down of benchmarks. As an ACO improves, what
10 it does and what it saves, each benchmark gets
11 harder to meet. And that occurs especially
12 when the contract is renewing. So I'll close
13 here and turn it over to my colleagues.

14 DR. BHANSALI: Thank you so much,
15 Clif. We're saving all questions from the
16 Committee until the end of all presentations.
17 Next, we're excited to welcome Dr. David
18 Johnson, Assistant Professor of Urology at the
19 University of North Carolina and Clinical
20 Operating Partner at Rubicon Founders. Please
21 go ahead, David.

22 DR. JOHNSON: Thank you, Dr.
23 Bhansali, and thank you to the PTAC for
24 inviting me to speak. My name is David
25 Johnson. I'm a Clinical Operating Partner at

1 Rubicon Founders, practicing Urologic
2 Oncologist at UNC Chapel Hill. And prior to
3 joining Rubicon Founders was the Medical
4 Director for Value Transformation at Blue Cross
5 in North Carolina. I'll be speaking today
6 about the role of conveners and increasing
7 participation in population-based total cost of
8 care models. And all views are my own and
9 don't represent my current or past employers.

10 Next slide please. So in the
11 context of population-based total cost of care
12 models, I refer to a convener as an
13 organization or an entity that engages multiple
14 stakeholders to facilitate the implementation
15 and execution of value-based care models.
16 Conveners engage stakeholders differently based
17 on their specific model, but in general, the
18 convener is the risk-bearing contract holder
19 with the payer. Payers can mean anything from,
20 you know, commercial plans, MA plans, CMMI as
21 previously eluded to in the last talk, or
22 at-risk PCP groups as well. So payer can be a
23 broad term in this context.

24 Secondly, the conveners engage or
25 partner with a provider organization. They can

1 partner in terms of care delivery. They can
2 also partner to align financial incentives and
3 work together to deliver on the outcomes of
4 that total cost of care population-based
5 contract. We're going to hear from a couple
6 of, you know, sophisticated and in one case,
7 integrated health care systems coming up here.
8 And so just keeping in mind really what I'm
9 talking about here refers to primarily to
10 independent community physician groups that are
11 interested in participating in these total cost
12 of care models.

13 And finally in terms of patient
14 engagement, it's also variable based on the
15 type of provider group that the convener is
16 working with, the services that the convener is
17 set up to provide, and then also the contract
18 terms. And again, while many of the principles
19 that I'm speaking about today very much apply
20 to the primary care models, my main focus here
21 is actually on how conveners can enable
22 specialists to participate in these type of
23 risk models as well.

24 Next slide. So with that in mind,
25 you know, it's worth noting that specialists

1 like myself, we're trained to deliver reactive,
2 episodic, transactional, face-to-face, and
3 hands-on care. And the systems that we
4 practice in are set up to optimize and
5 facilitate this type of care. And so Clif
6 introduced some of the barriers in the prior
7 talk, but when we think about just, you know,
8 some of the basic requirements that provider
9 organizations need to master or at least have
10 to directly assume financial accountability for
11 both medical costs and outcomes for an entire
12 population of patients, it's not surprising
13 that this feels like a pretty steep mountain
14 climb. In particular with specialists, you
15 know, there's been challenges on adoption
16 because of a lot of these requirements and
17 reasons. So in the next few minutes, I'll
18 discuss how conveners can help play a role in
19 supporting practices to meet these requirements
20 to make participation feasible.

21 Next slide please. So first,
22 population-based total cost of care model
23 fundamentally requires a sufficiently large
24 population to achieve actuary stability. We
25 just heard a little bit about all the

1 challenges of benchmarking on a large primary
2 care population. And when we start thinking
3 about smaller populations and more specific
4 populations, all of those issues are further
5 amplified. And so as we move beyond primary
6 accountability -- primary care accountability
7 rather, providers are increasingly taking risk
8 on more narrow populations sometimes defined by
9 an entire specialty service line like
10 cardiology or even a set of clinical conditions
11 like in the GI space with inflammatory bowel
12 disease.

13 And so conveners are often required
14 to aggregate risk across multiple practices,
15 geographies, lines of business, and payers just
16 so even moderately-sized physician practices
17 can participate in these models. And again, as
18 Clif discussed in great detail, the actuarial
19 exercise to set these benchmarks is extremely
20 challenging. And your typical specialty
21 practice lacks in any actuarial expertise to
22 validate what they're signing up for in these
23 risk models. And so conveners can be helpful
24 in this way by helping identify cost variation,
25 looking at savings opportunities, and helping

1 project forward future expenditures in order to
2 validate the viability of this type of risk
3 model for specific populations and for specific
4 provider groups.

5 Next slide please. And from a
6 financial standpoint, in order to meaningfully
7 participate in risk, it requires significant
8 cash reserves and financial capital for care
9 transformation investments. And unfortunately
10 provider groups are not sitting on mountains of
11 cash like insurance companies, so they don't
12 have the financial wherewithal to ride out down
13 years or errors or misses on the benchmark as
14 we just discussed. So conveners can help by
15 shielding provider groups from this downside
16 risk, as well as maintaining adequate cash
17 reserves to meet statutory requirements for
18 taking two-sided risks in the first place.

19 Additionally, significant up-front
20 investments are required to successfully
21 deliver on population-based outcomes,
22 particularly when being implemented in a
23 traditionally reactive transactional fee-for-
24 service environment. And so conveners are
25 often necessary for that initial outlay and

1 ongoing outlay of capital for investments in
2 things like clinical infrastructure, additional
3 staff, particularly those that don't generate
4 revenue but are high-value in a fee-for-service
5 world, and technology, including population
6 health management tools, technology to collect
7 and act on patient-reported outcomes and
8 performance dashboards for quality reporting.

9 Next slide please. So another
10 foundational requirement for managing total
11 cost of care of a population is having a
12 complete and real-time view into what's going
13 on with the patient outside of the clinical
14 walls. So as we know, our fragmented health
15 care delivery system results in an even more
16 fragmented system of information sharing on
17 patients. And so conveners are often able and
18 required to make investments in real-time
19 aggregation of both clinical and claims data,
20 as well as collecting and identifying other
21 barriers to health such as social determinants
22 that are not always top of mind for providers
23 seeing a patient day to day in the clinic.

24 And finally, specialty providers
25 that want to successfully manage total cost of

1 care must really make a shift in their clinical
2 focus from a reactive transactional fixing of a
3 problem once it occurs to a more upstream
4 approach and early detection and prevention,
5 and when appropriate, conservative management.
6 And as eluded to previously, specialty care
7 delivery care systems are set up to thrive
8 financially through volume and productivity
9 under the traditional fee-for-service
10 incentives. And so providers must be willing
11 to undergo what's really not a trivial clinical
12 mindset shift. Conveners can support this
13 shift by helping integrate innovative high-
14 value care models into clinical practice, which
15 in my experience can only have a transformative
16 impact if they're evidence-based, both patient-
17 and provider-centered, and done in close
18 collaboration with frontline clinicians. And
19 importantly, they have to coincide with
20 meaningful, attainable outcome space
21 incentives.

22 Next slide please. So I'll close by
23 calling out the obvious, that all conveners are
24 not the same. So it's important when a
25 provider is evaluating -- partnering with a

1 convener to understand what are the actual
2 services and functions that are offered by this
3 convener? What is the convener's business
4 model? How are they adding value to the
5 practice? How are they making money in their
6 contract? It's also important to understand
7 how these services and functions integrate into
8 the core clinical operations of that provider
9 care delivery system, especially how it's going
10 to impact the patient's experience. It's also
11 very important to make sure that the care
12 delivery vision of that convener is aligned
13 with the partner practice and that financial
14 incentives are also aligned in the right
15 direction.

16 Finally, payers must consider why a
17 convener is better suited to provide the
18 services or functions than the practice. It's
19 obviously necessary in a lot of ways to bring
20 additional capabilities to these providers, but
21 it is adding another entity and some call it a
22 third party into the mix, and so there must be
23 a justification for that. The payers should
24 also look at what is the degree of practice
25 integration and provider buy-in that's required

1 for success? And part of that really relies on
2 how attractive the convener's clinical model
3 and partnership model is for network practices.
4 The payer must also consider whether the
5 convener is willing to take on downside risks,
6 and whether the payer goals actually align with
7 the goals of the convener and the business
8 model.

9 So thank you very much for your
10 attention and I look forward to the question-
11 and-answer session. Thank you.

12 DR. BHANSALI: Thank you so much,
13 David. Now we are happy to welcome back our
14 former PTAC Co-Chair, Dr. Angelo Sinopoli,
15 thankfully who is the Executive Vice President
16 of Value-Based Care at Cone Health. It is
17 great to have you here, Angelo.

18 DR. SINOPOLI: Thank you. It's
19 great to see all my previous PTAC colleagues.
20 I've missed seeing you all and participating in
21 the discussions, so thanks for inviting me
22 back.

23 As was stated, I'm a Pulmonary
24 Critical Care physician. I spent most of my
25 career as Chief Clinical Officer of large

1 health systems, although I did spend a two-year
2 stint working in a venture capital of a backed
3 convener organization. So I have a little bit
4 of experience on both sides of the equation.
5 But to the point, I'm going to be spending most
6 of my time today talking about health systems,
7 their clinical integration efforts, and their
8 barriers to clinical integration.

9 We can go to the next slide. So
10 kind of starting out with what is clinical
11 integration. And obviously there's different
12 levels of clinical integration. I will point
13 out also that I forgot to mention that Cone
14 Health is now a member of Risant, which is an
15 entity that was developed by Kaiser to support
16 health systems across the country who are
17 interested in having strong history of value-
18 based care. Cone is the second health system in
19 that structure, the first being Geisinger. And
20 so we are working together, you know, across
21 Kaiser, Geisinger, and Cone Health to develop
22 clinical integration and value-based care
23 products.

24 So when you think about clinical
25 integration, it's more than just a group of

1 docs agreeing on a set of quality measures to
2 measure themselves against. It's really
3 bringing hospitals together, physicians
4 together, care teams together. And to focus on
5 quality efficiency outcomes and affordability,
6 you've got to have great physician leadership.
7 I used to call it, you have to have a mad man
8 present to really drive that sustainability.
9 And certainly care coordination data and
10 aligned financial incentives.

11 Next slide. So this depicts more of
12 an integrated health care delivery system. So
13 if you think about care from the home, then you
14 think about virtual care community resources,
15 mobile clinics, retail pharmacies, all the way
16 up to the most expensive hospital admissions
17 and then post-hospital for rehab, skilled
18 nursing outpatient, and then back to home. So
19 the real goal for health systems in this arena
20 is to clinically integrate all of those assets
21 to make patient experiences seamless. What
22 that requires is a data and technology system
23 that spans that entire set of assets that
24 drives data and patients across the system
25 seamlessly so that you're not throwing patients

1 over the wall as I describe it sometimes. You
2 also have to have a horizontal care management
3 care coordination disease management group
4 that's managing those patients across that
5 continuum, along with those technologies.

6 Next set. So this is what it would
7 look like in a different depiction here. So
8 again, utilizing EMS⁴⁸, utilizing mobile
9 clinics, all your community resources. And
10 we've frequently described this as having a
11 medical neighborhood approach with our health
12 care assets reaching out into the community.
13 And also developing an accountable communities
14 approach when we're working with community-
15 based organizations, county and state agencies,
16 et cetera, to integrate care across all of our
17 geographies.

18 Next slide. So you know, one of the
19 big things that helps us to run into and as do
20 practices is that, you know, there's a foot in
21 both canoes; fee-for-service and in APMs. And
22 it is very difficult to really transform care
23 until you hit about 40 to 50 percent of
24 practices, patient panels under some type of

48 Emergency medical services

1 significant value-based care. Also until you
2 get to that point, you're not really seeing
3 enough value-based care to cover the start-up
4 cost of entering those kind of products.
5 There was one estimate in 2016 that the
6 start-up cost for this was about \$1.8 million,
7 which was much higher than the CMS estimates
8 were when they started these programs. And
9 actually at the time, one of the Health and
10 Human Services Secretaries quoted a Midwestern
11 ACO that start-up cost was actually \$30
12 million. And so it's very hard to think of
13 covering that with a small APM, with a small
14 amount of your practice in APM models.

15 Next slide please. So you know,
16 part of the answer to that is to extend beyond
17 just MSSP or REACH programs. You've really got
18 to get a point in a health system or a practice
19 where the majority of your patients earned some
20 type of risk arrangements. So reaching beyond
21 Medicare to Medicare Advantage to Medicaid to
22 commercial payers, some organizations have
23 their own provider owned health plan and direct
24 to employer contracting. As you add those up,
25 then the practices and the health system reach

1 a critical mass, such that they have enough
2 patients under that kind of payment model to
3 really be able to transform care. Up until
4 then, the fee-for-service always outweighs the
5 need to manage care and to keep people out of
6 the hospital. Once you reach 50 to 60 percent
7 of your patients under this kind of
8 arrangement, then all of the sudden, an
9 admission does become an expense. And the
10 investment and all those tools and clinical
11 integration becomes justifiable from a finance
12 standpoint. And I always make the case that
13 the uninsured is 100 percent insured by the
14 health system since we are responsible for
15 those.

16 Next slide. So again, what enables
17 clinical integration is certainly a critical
18 mass of APM patients, the proper governance and
19 physician engagement, the proper financial
20 incentives. Unfortunately right now the value-
21 based care payment model is emphasized as the
22 financial side of that, but really doesn't pay
23 for clinical integration per se. The
24 technology, care coordination, patient
25 engagement, contractual and legal mechanisms

1 are all required to create true clinical
2 integration.

3 Next slide. So luckily --
4 particularly from a health systems standpoint,
5 the more risk that you are taking -- so if
6 you're only doing contracts that give you pay
7 for quality or you know, very low levels of
8 risk, there's a lot of restrictions in what you
9 can do to support your doctors to create
10 alignment and to create that clinical
11 integration. As you move to where you're
12 having significant amounts of downside risk,
13 global risk arrangements systems where, you
14 know, 30 to 40 percent of their revenues are
15 related to some pre-PMPM⁴⁹, then the 2020 OIG⁵⁰
16 file rules actually allow for a lot of
17 flexibility and what you can do for physicians
18 to help pay for care coordination, to help with
19 their technology needs, et cetera. Next slide.

20 Also with -- this is just another
21 slide to outline some of the restrictions that
22 are -- that OIG covered in that 2020 release.

23 Next slide. And also being able to
24 provide stronger incentives for specialists to

49 Per-member-per-month

50 Office of Inspector General

1 participate, we have a lot of embedded bundles
2 within our ACO for our specialists, and we're
3 able to design those and have them gainshare in
4 those things within the ACO model within that
5 shared savings arrangement. We've been able to
6 engage specialists quite well in that model.

7 Next slide. For small ACOs, you know,
8 they don't necessarily have that opportunity.
9 They have limited risk pools and a lot of
10 statistical variability and, you know, they
11 probably need to stay focused on high-impact
12 interventions, leverage partnerships and new
13 conveners, et cetera, to help them achieve that
14 level of integration they need and the
15 financial risk they need.

16 Next slide. And so this is just a
17 summary of modernizing the incentives and
18 physician alignment. I think we have to move
19 in a direction where we have to move beyond
20 just the Medicare risk arrangements and get to
21 a point where our practices and systems are
22 more fully involved in Alternative Payment
23 Models to really justify the expense and the
24 care transformations to be able to do well.

25 Next slide. I'll stop there. Thank

1 you.

2 DR. BHANSALI: Thank you so much,
3 Angelo.

4 Next, we are excited to welcome Mr.
5 Dan Liljenquist, Chief Strategy Officer at
6 Intermountain Health.

7 Welcome, Dan.

8 MR. LILJENQUIST: Thank you, good to
9 be here and appreciate the comments from David,
10 Clif, and Angelo, and agree with the sentiments
11 they've expressed.

12 I'm going to maybe click through
13 just a couple of slides to get to the meat of
14 what I would like to do.

15 Here's a little bit about me. I
16 don't want to dwell here, but I do want to talk
17 if you'll go to the next slide, a little bit
18 about Intermountain's position.

19 We are a large integrated delivery
20 system. We have 30-plus hospitals; about 400
21 clinics.

22 We operate in six states and have
23 about 48 -- or 68,000 caregivers that work,
24 that work with us every day.

25 Our mission is to help people live

1 the healthiest lives possible. This is a
2 pretty unique mission in health care. It's one
3 of the reasons why I love being here at
4 Intermountain.

5 I've been here coming up on 13
6 years, and in this role as Chief Strategy
7 Officer, for the last six.

8 Our mission used to be excellence in
9 the provision of health care services. And
10 about a decade ago, we changed it to this
11 mission statement believing that, that we can
12 do better than just to wait for people to be
13 chronically sick and try to patch them up at
14 the end of their lives.

15 That we, if we reoriented our
16 delivery system towards helping people live
17 healthier lives, we could create an economic
18 model that makes sense.

19 Our vision is to be a model health
20 system. This when Intermountain was formed in
21 1975 by the Church of Jesus Christ of
22 Latter-Day Saints, donating the then 15
23 hospitals to the community, they stepped out of
24 governance entirely, and gave us, left us this
25 charge to be a model system.

1 If you go to the next slide, please.

2 Here is our strategy. This is built
3 right off of that mission statement of helping
4 people live the healthiest lives possible, and
5 our vision to be a model health system.

6 Our strategy at Intermountain, what
7 we believe the best expression of that mission,
8 is when we take full clinical and financial
9 accountability for the health of more people;
10 partner to keep those people well; and
11 coordinate and provide the best possible care.

12 That first part of that, taking full
13 clinical and financial accountability. We want
14 to, as Angelo spoke about, we want to align and
15 send it in such a way that every time we keep
16 somebody well, and on their medications, and
17 out of a facility, if we've been pre-paid every
18 dollar of cost reduction is a dollar of value
19 creation, it is way better for the patient.

20 It's better for us and it creates an
21 economic model that, that we can be proud of as
22 we seek to do our work.

23 We are focused on two major system
24 initiatives to advance our strategy. The first
25 is simplifying what we do for our caregivers,

1 patients, and members.

2 About 62, I think it was roughly 62
3 percent of people polled believe that health
4 care was deliberately designed to be confusing.

5 And when you see all of the
6 different avenues of care, all the different
7 options, we hand people essentially an
8 insurance card, and when everything is on the
9 line, wish them the very best to go figure out
10 what they need.

11 And that's where the lack of
12 coordination of care; lack of awareness about
13 how the systems work has really hurt our
14 ability to meet people where they are and help
15 them find what they need.

16 And that complexity exists for our
17 caregivers. So everybody who works at
18 Intermountain is a caregiver whether you're
19 involved in direct patient care, or you support
20 those who do that work.

21 But the level of complexity that
22 we're dealing with inside of our organization
23 is massive.

24 And it's just as confusing if not
25 more confusing, for the people we aspire to

1 serve, our patients and our members.

2 So we are working very, very
3 systematically to identify and remove friction
4 in our system, to be much more situationally
5 aware of where patients are, what they need,
6 what's the next right action they need us to
7 take on their behalf.

8 So, if they need engagement around
9 and so we're orienting really all of our
10 clinical systems, and our analytics around,
11 around that goal.

12 To be aware of situationally, we're
13 much more situationally aware than we've ever
14 been about what's happening to patients.

15 And that requires real-time
16 awareness. It doesn't do you any good if it's,
17 if you're waiting for a claims payment or
18 claims reconciliation two weeks later, to know
19 what's the next right move to make for a
20 patient today.

21 We're also working on expanding
22 proactive care, and Angelo spoke about this a
23 little bit.

24 I'm speaking specifically around the
25 need to expand these models. We all live in

1 with a foot in two canoes of Intermountain's
2 roughly \$18 billion to spend, or of revenue.
3 About \$5 billion is fully capitated.

4 So, we are very squarely in both
5 boats.

6 We believe that doing the right
7 thing doesn't necessarily require you to do
8 materially different things.

9 But we do know this. That if we
10 collapsed Intermountain's payment levels to the
11 Medicare payment levels in the states we're in,
12 we would go from being one of the healthiest
13 health systems in the country to losing over a
14 billion dollars, if not closer to \$2 billion a
15 year.

16 The gap between commercial payment
17 and where our Medicare benchmarks are in our
18 area, just make it incredibly important to make
19 sure that we have models that work for our
20 commercial business.

21 And in the commercial world, two-
22 thirds of that commercial business is in ASO⁵¹
23 models, self-insured models, large employer
24 models.

51 Administrative Services Only

1 And so, we are really working how do
2 we create proactive care models that work for
3 commercial populations?

4 And that's meaningful to us. I'm
5 the son of an endocrinologist. I grew up
6 around diabetes. My brother is an
7 endocrinologist as well.

8 It is, if we are only waiting until
9 somebody turns 65 to engage on a metabolic
10 disease, we will, we miss the real opportunity
11 to change their lives.

12 We should be engaging effectively in
13 people in their 30s, 40s, 50s, when we can
14 actually avert the complications of Type 2
15 diabetes.

16 We are very concerned that the focus
17 on payment models that are only for Medicare,
18 dramatically risk a whole generation of people
19 that if we engage more effectively, we could
20 avert some of the crises.

21 Add on to that, we're very concerned
22 over the next five years, a full quarter of
23 Intermountain's doctors and nurses are
24 retiring. They are in the baby boom cohort.

25 If we hired every doctor and every

1 nurse out of every single program in all of the
2 states we operate in, and retained all of them,
3 we will be thousands of providers short given
4 the massive increase in demand, and the
5 retraction in supply.

6 So, unless we change how we deliver
7 care, we will be leaving people behind. And
8 unfortunately, we think it will be leaving
9 those patients in their 30s, 40s, and 50s, who
10 could really use proactive interventions to
11 avoid, for example, developing metabolic
12 disease.

13 That our system will be so focused
14 on caring for the baby boom cohort, who is
15 average age now is 71. They weren't expensive
16 at 65. They're going to be very expensive at
17 75 and 80.

18 And we're trying to figure out how
19 to balance out the needs of our broader
20 community with an unprecedented retraction in
21 the supply of providers. We have to do our
22 work.

23 So, as you look at the bottom here,
24 and I'll finish with maybe just a couple of
25 comments. Our focus investments at

1 Intermountain, we are adopting cutting edge
2 technology.

3 We are leaning into AI. We are
4 leaning into ambient listening. We are leaning
5 into those tools that help our providers be as
6 efficient as they can be.

7 We are working on streamlining the
8 consumer experience process, so we know
9 situationally, how to lower the friction points
10 for people to access the care we do provide.

11 And then, we're reimagining our
12 work. We are investigating every opportunity we
13 can explore, to decant volume out of doctors'
14 offices to other settings.

15 For example, we're working on a
16 centralized medication titration refill and
17 preauthorization center so that we don't have
18 to have our doctors taking up time for routine,
19 for routine, you know, prescription refills.

20 Trying to find that extra three or
21 four slots a day that we can open up in a
22 physician's schedule to handle more patients.

23 We're working on growing our Atlas
24 Payments, believing that if we do this, we can
25 better align our system around what meeting

1 patients where they are, when their conditions
2 are less acute.

3 And helping them along their way in
4 a low-cost model, but in a model that helps us
5 move upstream, but also sustain our economics.

6 And then, we are on the bottom here,
7 the bottom right here, creating expanded,
8 expanding proactive care models.

9 We're really working with our
10 clinicians, with our medical, with our clinical
11 programs, on road mapping what is the right
12 standard of care for somebody in their 30s,
13 40s, 50s.

14 How often do we need to see them?
15 How do we make sure that we are staying in
16 touch with those communities and at the same
17 time, trying to absorb the largest cohort
18 coming into Medicare in the history of the
19 program?

20 So, maybe finish with the next
21 slide. How do you do all this? We are working
22 to build trust with our providers. Always
23 communicating.

24 One of the things we're working on
25 is really getting to the point where we have

1 daily visibility into how we're performing on
2 our risk-based arrangements.

3 And not only, just at the program
4 level for Medicare ACO, but also inside our
5 hospitals to be situationally aware of the
6 patients we have in our hospitals that are on
7 at-risk arrangements, so that our hospital
8 administrators can better be aligned with the
9 work we're trying to do to keep people well and
10 out of the hospitals.

11 If you'll go to the next slide and
12 then I'll wrap up.

13 How do you do this? We are really
14 going through a lot of change management with
15 our 5,000-plus employee providers here at
16 Intermountain.

17 And it's maybe the most important
18 thing we've learned is that we've got to
19 listen. We need to act. We need to report
20 back. We need to make sure that we are
21 encouraging this feedback loop.

22 Because what I've found, what we've
23 found at Intermountain is our clinicians are
24 bought into the vision of what we're trying to
25 do. And that's encouraging.

1 I think the models that we built
2 across this country are going to be enormously
3 stressed over the next 10 years.

4 And the only way that we've found to
5 be effective in reducing physician burnout is
6 just being highly engaged with what they're
7 experiencing in their daily lives.

8 And this is, this process, this
9 simple process has helped us increase our
10 engagement and keep our clinicians here,
11 instead of choosing to opt into concierge
12 models and just throw their hands up on the
13 whole system.

14 So, with that I'll just turn the
15 time back over to you, Mr. Chair, and
16 appreciate the opportunity to be here.

17 DR. BHANSALI: Thank you, Dan.

18 Now we will open up to discussion to
19 our Committee members. So at this time, PTAC
20 members, please flip your name tent tag up or
21 raise your hand and Josh, and if you have
22 questions for our guests.

23 Yes, Krishna?

24 MR. RAMACHANDRAN: This is probably
25 more for David, I think.

1 Just curious on best practices you
2 have around how payments can be made to
3 convenors, particularly for specialty-based
4 models.

5 DR. JOHNSON: Yes, it's a great
6 question.

7 I think in general, there really are
8 two issues with sort of the payment flow
9 between convenors and their partner practices.

10 One is that it takes a long time to
11 change the health of a population. A lot of
12 the interventions take years to actually
13 generate the savings that result in the shared
14 savings payment, or the outcomes-based
15 incentive payment.

16 The second is that the fact that we
17 have to wait an entire performance year and
18 then six, nine, 10 months for reconciliation.

19 Once we actually generate the
20 savings, it's challenging for the convenor to
21 sort of keep the providers engaged and
22 interested if they're not really seeing what,
23 the work they're putting in bear fruit in terms
24 of the value-based payment contract.

25 And so, a lot of what we're trying

1 to figure out is how do we have a different
2 payment flow that's occurring during the year
3 that we're taking risk and passing that along
4 to the provider partners.

5 A lot of these convenors are backed
6 by financial institutions, like Rubicon
7 Founders, for example.

8 And the reason is because it
9 requires so much of that up-front investment to
10 sort of sustain that period of time to get
11 payments flowing back to our partners, which is
12 the ultimate goal, to reallocate those
13 resources.

14 So, best practice is if there's ways
15 for payers to sort of be understanding about
16 the need to front payments as really cash flow,
17 payers are at this point, wanting all dollars
18 that they're paying out to be at risk for these
19 types of arrangements.

20 But some lay the front, the flow of
21 funds so that we can keep our providers
22 engaged.

23 And then also, having a reasonable
24 ramp to two-sided risk even with convenors,
25 understanding the time it takes for shared

1 savings to actually materialize.

2 Again, given the sort of uncertainty
3 about benchmarks and how challenging it is to
4 baseline these types of populations.

5 MR. RAMACHANDRAN: Helpful, thank
6 you.

7 DR. BOTSFORD: Larry?

8 DR. KOSINSKI: Thank you. So nice
9 to see some friendly faces here.

10 I'm going to start with just a
11 follow up for David. David, you know very well
12 that Sonar has been -- it's a convenor.

13 And you worked with us to ultimately
14 gave us a contract with Blue Cross of North
15 Carolina, so I appreciate all that.

16 But those prospective payments are
17 really necessary. And the convenor many times
18 has to take risk on that.

19 Has to take risk on that up-front
20 payment in order to be able to carry this to a
21 point where you can have a reconciliation down
22 the line. The provider certainly can't.

23 But my question and this can go to
24 all four of you, has to do with the ASO model.
25 It was brought up multiple times.

1 How do we have a convenor in an ACO
2 model -- in an ASO model where the health plan
3 really doesn't want to go and renegotiate every
4 single contract with each of its self-funded
5 employer clients?

6 This is a major impediment. The
7 health plans will do it if it's, if they have
8 full risk on that patient population.

9 But in the ASO model, you have to do
10 other models like develop a friendly PC⁵² model
11 where you're billing a CPT⁵³ code.

12 Have any of you come up with
13 solutions for a convenor in an ASO model?

14 DR. JOHNSON: I can give a quick
15 response to that, and then yes, happy to turn
16 it over.

17 We worked with some convenors in the
18 kidney space in North Carolina and were able to
19 get our ASO customers in that model because
20 there was a broader payer infrastructure for
21 value-based payments, that these additional
22 convenor-based models kind of rolled up into.

23 The challenge is really getting the
24 employer groups to understand that if they're

52 Primary care

53 Current Procedural Terminology

1 paying more dollars in their value line item,
2 that that means because it's more than that was
3 saved to get that, that payment.

4 And that's a sales issue, an
5 education issue. But if there's a way to kind
6 of tuck that in under a broader value program
7 on the payer side, that's sort of how I've done
8 it before.

9 But interested in other's opinions
10 as well.

11 MR. LILJENQUIST: Okay, maybe I
12 would just add look, what we're finding here at
13 Intermountain is our large employers are coming
14 to us, and they're saying hey, I've got an
15 insurance card that says I have your network,
16 and I can't get in to see anybody.

17 And we have 10s of millions of phone
18 calls we take every year and it's basically the
19 elderly population calling every five minutes.

20 We have massive displacement of
21 people who have been with their doctors for
22 years and years, and their doctor retired right
23 when they have needs.

24 Doing nothing if we're not
25 deliberate about this, we could be absolutely

1 overwhelmed by the Medicare population alone.

2 The needs are so big, and the
3 retraction is so significant, they are already
4 seeing a substantial rationing of care.

5 And that rationing of care is coming
6 for people with commercial insurance, who can't
7 see a doctor. And can't see a primary care
8 doctor.

9 We'll do surgeries on them because
10 they pay well, and you can get in for those,
11 but we're talking basic, routine care.

12 So what we're working on at
13 Intermountain is trying to figure out okay, as
14 we go and work with these large employers,
15 we're exploring sub-capitation models for
16 primary care alone with of course, bonding
17 guarantee for same day access.

18 And give us a sub-cap for primary
19 care. You're paying a lot of this. You're just
20 paying it through emergency departments, or
21 deferred care, or deferred costs.

22 And then, with the goal to match
23 that up with newer and less cost-intensive
24 modes of care for those people.

25 Like for example, we may, we were

1 exploring moving to a once every three-year
2 primary care visit, but same day access if you
3 have an emergent, if you have a fever or some
4 sort of thing that might require you to see an
5 urgent care.

6 And even go back and say regardless
7 of this location if we, where we treat you, if
8 it's this certain condition, we'll cover that
9 in a sub-cap.

10 So, we're doing a lot of that work
11 right now, and frankly, our large employers who
12 have folks in our states are saying hey, that's
13 something I'll pay for.

14 And it's essentially paying for some
15 access so that they can get their people in to
16 see folks.

17 So, we're trying to balance that
18 out, but I don't know if that makes sense to
19 you, Larry, but that's some of the stuff we're
20 exploring.

21 DR. GAUS: Yes, I'll just add one
22 thing that we have looked at that over the
23 years, and toyed with the idea is there a way
24 to create a value network that would make it
25 easier for the payers to on behalf of their ASO

1 contracts, to contract for certain, certain
2 kinds of services, capitation or otherwise.

3 It's just a costly, really costly
4 undertaking to do something like that. We were
5 just never able to do it. But it is a serious
6 problem, so, yes, but no easy solution I'm
7 afraid.

8 DR. SINOPOLI: So, and Larry, our
9 experience have been more like Dan's is that
10 we've not really figured out a broad umbrella
11 ASO type approach.

12 But we've approached individual
13 employers and offered them a spectrum of
14 services that go all the way from minimal
15 services, same day access, sub-capitations up
16 to total risk with risk quarters.

17 But we've had to do that large
18 employer by large employer. We've not really
19 had another mechanism for doing that through a
20 payer.

21 DR. KOSINSKI: I do have a follow-up
22 question for Clif on benchmarking.

23 One of the things that I see in my
24 day job is that when we have private practices
25 that are being compared against hospital-based

1 provider groups and we have a risk adjustment
2 that's made between the two.

3 The private practice always loses
4 out on the risk adjustment to the
5 hospital-based systems, and there's always a
6 negative adjustment back because of the coding.

7 The systems do a much, much better
8 job at risk-based coding, than the independent
9 practices do.

10 Do you have any solutions in that
11 regard?

12 DR. GAUS: Not easy ones, but I,
13 coding underlie. The coding problems and all
14 of the inequities in it underlie so much here
15 of I think the problems in both benchmarking
16 the reconciliations and as I mentioned earlier,
17 the disproportionate difference between MA and
18 accountable care models.

19 One of the benefits I think of the
20 convenors, and they have now are playing a much
21 bigger role.

22 I mean when NAACOS was started, when
23 ACO program was started, there literally were
24 less than 5 percent of the ACOs in that first
25 few cohorts. They were part of a multisystem.

1 Now, there's over 50 percent of the
2 ACOs are part of the -- either the convenors or
3 the multi-hospital systems.

4 And the convenors do bring, do bring
5 new technology, coding technology. I'm not
6 necessarily endorsing that, but frankly, they
7 do put the individual private physician
8 practices on a more even keel I think, with the
9 capabilities of the hospital systems for
10 coding.

11 It's a whack-a-mole game though.
12 And somehow I am hoping we can address this
13 fundamental problem soon.

14 It's driving, of course, the trust
15 funds to a faster liquidation, and it is just
16 not a fair system to providers or frankly, to
17 the taxpayer.

18 So, it is, but there is no simple
19 solution I'm afraid.

20 DR. KOSINSKI: There's no financial
21 incentive for a doctor to improve his or her
22 coding. They're going to get paid the same no
23 matter how they code unless they're in a
24 risk-based contract. Thank you.

25 DR. GAUS: That is correct. That is

1 correct.

2 DR. BHANSALI: Lee, and then Jay.

3 CO-CHAIR MILLS: Thank you.

4 This question is first for Angelo,
5 but then I would certainly invite everybody's
6 thoughts on it.

7 And this is I want to ask your
8 insight and wisdom to a piece of the ecology
9 here that I've long been concerned about and
10 asked questions about.

11 And that's to borrow Dr. Lin's
12 phrase, that's the last mile in value-based
13 payment.

14 So, we do see increasingly in
15 Medicare Advantage plans and some commercial
16 contracts, you know they're paid as a
17 capitation from payer or some value-based
18 arrangement from the payer to their contracted
19 network.

20 Yet the contracted network's
21 employers of physicians by and large, still
22 hugely pay their physicians fee-for-service.

23 And I'm not saying that's absolutely
24 required to see behavior change, but it
25 certainly facilitates behavior change if you're

1 paid to care for a population and not to
2 produce RVUs⁵⁴.

3 I've thought a lot of this is tied
4 up into the fair market valuation rules, and
5 the facts that systems counsel and compliance
6 staff are very conservative.

7 And it's just not changing to
8 understand that you can pay on different bases.
9 You mentioned the 2020 OIG final rule and
10 reinterpretation. I just don't think that's
11 really percolated through systems yet.

12 So, love your comments on what types
13 of physician compensation arrangements you
14 found most effective to change the mind set to
15 caring for a population while still balancing
16 needs for access, and productive career
17 physicians, that sort of thing.

18 DR. SINOPOLI: Thank you for that
19 question.

20 And yes, as you know I agree with
21 you 100 percent with that. I think it's
22 multifactorial.

23 So again, as networks initially
24 enter APMs, and they may be on a value payment

54 Relative value units

1 only kind of model, their hands are really tied
2 in terms of what you can do for practices.

3 Because their base salary has to be
4 linked to fair market value. But as you move
5 to more global risk arrangements and you get
6 more and more global risk, then you do get
7 leeway to do other types of support.

8 And the shared savings is not always
9 predictable. It's not always something that
10 you can rely on to incentivize.

11 And there's always delays you know
12 as mentioned before, as to when you're going to
13 get that shared savings.

14 And so, once you reach a certain
15 level of risk, then you do have through the
16 2020 OIG rules, an ability to pay physicians
17 for things other than their shared savings.
18 And I'm not an attorney, but I know that this
19 is the case.

20 And so, you can pay for such things
21 as care coordination efforts. You can embed
22 staff in those practices.

23 You can pay for process improvement
24 projects, things that you need to have done for
25 the network.

1 So, there's an array of those kinds
2 of things, and you can also supply in-kind
3 services for them like ambient listening.

4 Like a majority if sometimes not all
5 of their EMR, as long as they're fully
6 integrated, which goes back to some of those
7 coding questions.

8 If you've got an ambient listening
9 device that actually imbeds the note in an EMR,
10 which there is a handful that will do that,
11 then the EMR can capture those codes and more
12 sure that you're getting accurate coding on
13 those things.

14 So, there's a lot of leeway in
15 there. I think you have to really judge what,
16 how much risk are you taking does that get you
17 past the thresholds for the OIG regulations,
18 and you certainly have legal input into that.

19 But that actually drives the
20 clinical integration in the bigger systems that
21 take advantage of that because it's not just a
22 fee-for-service.

23 And then maybe you'll get some
24 shared savings down the road. They're being
25 paid to produce quality and clinical

1 integration.

2 So, does that answer your question?

3 MR. LILJENQUIST: Maybe I would just
4 add, Angelo, well, well said. You know there is
5 more flexibility in these models than you would
6 have in other ways.

7 And again, the value goes to the
8 patient. If you're improving that care,
9 there's more leeway.

10 I would say another big concern that
11 we've had is that there's the unpredictable
12 nature and I think Clif, you got to this, the
13 unpredictable nature of what are the economics
14 going to look like, are a challenge.

15 And you can be a large
16 sophisticated, integrated delivery system like
17 ours and still be stuck on the wrong side of a
18 contract.

19 Especially on MA when a plan goes
20 up your benefits and you're in a full-risk
21 arrangement, they can shift a lot of risk to
22 you, and they do.

23 And so, over the years we've had
24 significant conversations with our payer
25 partners and had to move away from some of them

1 because the temptation to shoot for a
2 short-term quarterly earnings at the expense of
3 the broader network, is real.

4 And I think we're seeing that with
5 version 28. A retrenchment of all of the
6 larger MA players. And they are doing what
7 they can to keep their quarterly earnings, but
8 without the risk adjustment; without the HCC
9 uplift they've had.

10 They're denying claims, and they're
11 also shifting risk by adding benefits and then
12 using the contracts against their providers.

13 And what that's done, it's
14 dramatically reduced the willingness of
15 individual providers who have low
16 capitalization as David talked about, and who
17 are terrified of bearing downside risk, it
18 literally could wipe them out.

19 And we've had our challenges as a
20 large sophisticated system ourselves.

21 So, there's just if I were a
22 provider and thinking about betting my
23 practice, I would have a hard time betting on
24 value-based care if I were -- like my brother.

25 He's not doing it. He'll take

1 upside only contracts. But if the rules change
2 and some contracting mechanism he doesn't
3 understand moves, it could wipe out his
4 practice.

5 And so, I do think you know the more
6 transparency there is about what particularly
7 the rules will be, the more you can have people
8 invest.

9 And I served in the Utah state
10 senate, and I ran Utah's Medicaid ACO
11 legislation. And we had tried managed care in
12 the '90s.

13 And essentially what happened, the
14 reason why it fell apart in Utah as we learned,
15 was that every time you organized to keep
16 somebody well, middlemen or some extra would
17 come back and say well, the people you were
18 caring for weren't that sick.

19 And so, you would have the savings
20 taken off the table. And what it was is it was
21 a one-way deal where the state benefitted, and
22 the practices didn't.

23 And so, you know we tried and the
24 legislation I ran in 2011 tried to address
25 that. But it really comes down to creating

1 clear rules and being comfortable with what the
2 shared saving is with the government program
3 with the providers.

4 And I could go on a little bit about
5 what we did in Utah around that, but I do think
6 this is an unpredictable environment for most
7 of our providers.

8 And unless it becomes more
9 predictable, it's going to be left to large
10 systems like Risant, like Intermountain and
11 others, who are willing to take these steps.

12 But it's going to be more difficult
13 for individual providers to even participate or
14 know how to do it.

15 So, just my view but I'm happy to
16 defer to anybody else.

17 DR. BHANSALI: Jay, to you.

18 DR. FELDSTEIN: I'd like to thank
19 everybody for participating this afternoon.

20 I'm going to change, shift gears a
21 little bit and ask each of you how your
22 organization is approaching using AI in terms
23 of your workflows, your processes, whether it's
24 clinical integration, whether it's ambient
25 listening, AI scribes. Whether it's predictive

1 modeling.

2 How are you approaching it? Are you
3 early adopters? Are you letting your existing
4 software vendors incorporate into their own
5 products?

6 I'm just curious how each of your
7 organizations are approaching it.

8 MR. LILJENQUIST: Jay, I'm happy to
9 hit it fast from Intermountain's side. We have
10 offered to all of our doctors, DAX copilot
11 ambient listening. And in all settings we're
12 rolling that out.

13 Some of our doctors were really,
14 really good at using macros. I haven't found a
15 big difference in how they do coding.

16 But for some of our doctors, it's
17 saving them between 90 minutes and two hours of
18 pajama time every day.

19 We've turned on AI tools around
20 drafting response notes to patient inquiries,
21 and that's saving about half that time.

22 We have about close to 70 different
23 AI projects under way at Intermountain. Most
24 of those are back office oriented.

25 For example, we've taken about 30

1 minutes off of the time it takes us to do a
2 claims denial letter appeal. But we're working
3 systematically to smooth that out.

4 One of the things we're maybe most
5 excited about is using ambient listening for
6 nursing.

7 And we're working very closely with
8 Microsoft on a nursing pilot, and we're taking
9 our coding time per patient, per shift, per
10 nurse, down by about half.

11 And the goal there is to increase
12 our bedside time from about 36 percent to 41
13 percent for our nurses, which will help them
14 maybe take one more patient or shift, or maybe
15 even two depending on how efficient we can be.

16 And that is trying to be as
17 proactive as we can with the nursing, dramatic
18 nursing shortage we're facing.

19 So, we, what we haven't done, and I
20 don't think anybody's figured out, is to really
21 ground these AI tools in truly physician
22 co-pilot-type work where a physician is getting
23 real-time advice from these tools as they're
24 scouring the record.

25 We hope to get there, but that's

1 going to require a broader collaboration with
2 other health systems around things like
3 Graphite Health, and some other things we're
4 working on.

5 But we need leaps forward in
6 productivity. And these tools are the best
7 thing, best option we've seen.

8 Comes with the risk. But the risk
9 of doing nothing is even higher in our opinion.
10 So with that, I'll defer to others.

11 DR. SINOPOLI: So Jay, I can tell
12 you from our experience, we've implemented
13 ambient listening in most of our employee
14 practices already and have seen the same kind
15 of response that Dan quoted.

16 It's just they've loved it. It's
17 decreased their pajama time. It's creating
18 better notes from a documentation standpoint.

19 Where we're struggling with now is
20 getting that ambient listening into our
21 independent docs' practices.

22 Because as you can imagine, there's
23 a wide variety of EMRs there, and we're trying
24 to figure out a way to integrate that directly
25 into the EMRs. So we're working with them on

1 that.

2 We are beginning to implement some
3 care everywhere kind of things, so that
4 patients from their phone can actually just
5 describe their symptoms and get directed from
6 an AI standpoint, to their best site of care.

7 Whether that's an emergency room, a
8 virtual visit, or offices, or whatever, and it
9 can from an AI standpoint, determine that.

10 And we've got some other care guide
11 things for the primary care docs to use, that
12 can help direct them in terms of just
13 guidelines and those kinds of things.

14 So, we think that's going to be big
15 issues for the future, and we're really betting
16 a lot on AI.

17 DR. JOHNSON: I'll just quickly add
18 at UNC for clinical purposes, we have Ambient
19 Scribe. Pilots rolling out that are being
20 disbursed across the population, but that's
21 about it.

22 DR. BHANSALI: Lauran?

23 MS. HARDIN: You started to address
24 my question, but I'll just add the question and
25 give you an opportunity to add another layer.

1 Each of you have talked about the
2 importance of proactive prevention of costs
3 from even occurring, or really prevention of
4 risk in the population.

5 And having worked in hospice and
6 palliative care before, working in complex care
7 and care management, we would call that
8 anticipatory symptom management, anticipatory
9 disease management.

10 It's a completely different
11 competency than what you function on in a
12 fee-for-service environment when the payment
13 model is structured differently.

14 So I'd love to hear from each of you
15 what clinician roles, technology, and practices
16 have you found as essential and success in
17 delivering proactive care, or what are you
18 learning about that, that you think will be
19 essential to take forward?

20 DR. JOHNSON: I can give that one a
21 shot.

22 So, I think the biggest transition I
23 think is going to have to happen and in terms
24 of what you're talking about, and in a
25 specialty practice that's not integrated and

1 doesn't have primary care doctors, advanced
2 care planning, palliative care services, kind
3 of integrated or embedded in the practice.

4 And so first from a clinician role
5 standpoint, it's providers who are experienced
6 in that type of care that you mentioned.

7 Whether you want to call it the
8 goals of care, advance care planning,
9 anticipatory, symptom, or disease management,
10 just having the thought process of, and the
11 training to have those conversations of what
12 patients are expecting and hoping for down the
13 line.

14 Definitely not something I ever
15 learned in residency as a urologist. And it's
16 just incredibly important, and having
17 individuals whose clinical focus is really on
18 having those conversations and being able to
19 communicate that well with patients.

20 And sort of elicit the patient
21 preferences, which is a skill in and of itself.

22 In terms of technologies, I think
23 one of the things AI may be able to help with
24 is patient stratification and cohorting of your
25 high-risk patients that us as clinicians,

1 aren't able to predict that very well at all
2 just by looking at the patient in front of us
3 in clinic.

4 And so, being able to bring together
5 both clinical data, outside records, social
6 determinants, information to determine who are
7 the patients that we need to have these
8 conversations with so that we can dedicate
9 those resources I just mentioned previously, to
10 the right population of patients.

11 And then, in terms of practices, in
12 my mind at least having the financial incentive
13 be flipped so that there is a reason to do this
14 and it's, there's a business model for hiring
15 these people, for investing in these
16 technologies.

17 And then, taking time away from
18 doing high-margin procedural things in place
19 doing these types of conversations that are
20 really aligned with patient goals of care.

21 I think those are the three things
22 that we need to do, speaking specifically from
23 where we are on the polar opposite side, and
24 community specialty practice.

25 DR. SINOPOLI: So, Lauran, from my

1 perspective is, you asked that question I think
2 it's a tough question to answer.

3 And certainly to really do it well,
4 we need sophisticated data analytics and AI.
5 But as I think about that question, I think
6 about two buckets.

7 So, one is on an individual patient
8 level, what are our predictive capabilities to
9 predict what they're going to be at risk for.

10 And more broadly, you know related
11 to work you do, is predicting that in our
12 communities. Where are our high-risk patients
13 living, and can we predict based on those
14 demographics, who is going to be at risk for
15 what?

16 And providing a broader population
17 approach to those individuals by trying to
18 focus individually on individual patients and
19 their specific risk. You know again, working
20 across primary care and specialty care.

21 MR. LILJENQUIST: And maybe I would
22 add, Lauran, health care drives itself down the
23 road by looking in the rearview mirror.

24 And that's the biggest challenge is
25 with dealing with population risk, is there's

1 always a regression to the mean.

2 Your sickest patients the previous
3 year, regress to the mean almost always.
4 Either they die, or they get better.

5 And so, we've been trying to figure
6 out how to focus on rising risk. And if you're
7 looking retrospectively in rising risk, you're
8 going to miss a lot of it.

9 And so, what we've been to Angelo's
10 comment around AI, we've got 138 clinical
11 systems that feed data into Intermountain.

12 They feed them into 2,500-plus data
13 tables that because there's no standard of what
14 that data represents, we have an army of people
15 that are wrestling with those data tables
16 manually, to try to get what we call a gold-
17 level data.

18 And it's not gold-level, it's still
19 really difficult to understand what's
20 happening.

21 Some of the advancements that we're
22 doing through collaborations with Kaiser and
23 others with a company called Graphite Health,
24 which is a nonprofit organization, is focused
25 on creating what we call a semantic and

1 syntactic data standard.

2 We donated, Intermountain donated
3 all of its clinical element models for free,
4 into this organization.

5 We are working with Graphite to
6 actually create a translation engine inside of
7 our firewall, Azure, that goes from 2,500 data
8 tables to three, and does that on a real-time
9 basis.

10 So, we're able to feed our clinical
11 systems into that data model quickly, which
12 means that instead of having to wait for lab
13 values to show up, or for a blood pressure
14 monitor to show up, we can do that within
15 minutes. And then, run algorithms on that
16 data.

17 But that is a big hurdle for all of
18 us. We've not come up with a standard as an
19 industry to go back and have all of these
20 clinical systems retrofit to a data standard.

21 But as we upload our data now into
22 the cloud, the technology is there to translate
23 that data as it comes in, into a cleaner
24 dataset.

25 And we're working on that. We've

1 just finished; we're the first group to go live
2 with this. Emory's going to go next, and we
3 have a handful of partners who will move
4 forward.

5 But that is the most promising thing
6 for us because if we're able to do that, we
7 should be able to identify rising risk in a
8 real-time basis and using AI tools to do that.

9 And that is the goal to move away
10 again, from looking in the rearview mirror to
11 being able to react more aggressively.

12 In fact, that engine that we are
13 working to build is -- we are calling it the
14 next right action engine.

15 It's like what's the next right
16 thing to do, be driven by clean data, and that
17 gives us some hope that we might be able to get
18 on the front end of some of this.

19 But it's enormously complex and our
20 datapoints right now are so limited as to what
21 is actually happening with our patient base,
22 that largely even the best systems are largely
23 flying blind here.

24 DR. BHANSALI: Dan, a question for
25 you to follow up on that.

1 As you're coming up with the next
2 best action, is this a clinical action? Is
3 this a, addressing care management or care
4 coordination? And do you have insight into what
5 is driving that potential risk of negative
6 outcomes?

7 And then, I have a next question for
8 the entire group.

9 MR. LILJENQUIST: Yes, great
10 question.

11 A lot of that next right action is
12 if you're in an episode of care, it's
13 understanding what's the next right thing to do
14 in that episode of care.

15 It's okay, we're going to get you,
16 we found out you have a carcinoma in your
17 colon, the next step is a CT contrast. And
18 we're going to take that real easy to do.

19 We're actually pretty good at that
20 stuff now because we have teams of people who
21 are looking at that.

22 Where we struggle is hey, you're 30
23 years old. What should you be doing? And the
24 models there have not been developed. What is
25 the right thing to do for a 30-year-old?

1 So, you know largely we've been
2 focused on Intermountain's known for its
3 quality, but a lot of that quality is episodic-
4 focused. It's not really longitudinally
5 focused.

6 And we're working with our clinical
7 programs to build that longitudinal view. But
8 we needed to do both. We needed to know where
9 somebody is in a particular pathway so that
10 they can get the next right step done.

11 And have a broader mechanism
12 wrapping around them to say okay, when we have
13 a touch point with them, let's make sure
14 they're getting their vaccine.

15 Let's make sure that hey, the
16 colonoscopy guideline moved from 50 to 45.
17 Let's get you scheduled.

18 It's making that stuff easy and
19 intuitive but it's, but you've got to get
20 really, really good episodically as well.

21 And so we're trying to figure out
22 how to create an awareness across both of those
23 kinds of in-depth episodes, and the
24 longitudinal pathway for our patients.

25 So, not easy stuff but we do think

1 the technology and the awareness, we can build
2 the awareness. We can be a lot better at it
3 than we are today.

4 DR. BHANSALI: I want to share that
5 was at ViVE maybe about two to three weeks ago,
6 and it was, I mean the theme was AI to solve
7 for some problem or another.

8 Whether it is figuring out the next
9 best action, how to solve for the workforce,
10 ambient listening, how to call patients and
11 make appointments, et cetera.

12 And so, as we think about these
13 different solutions for workforce shortages and
14 et cetera, appropriate documentation, risk
15 management, and whatnot.

16 But I want to switch gears a little
17 bit to the question around benchmarks, right,
18 to have the resources that are necessary to be
19 able to deliver this care and invest in these
20 models.

21 So to that end, what are best
22 practices for improving the predictability of
23 ACO benchmarks, and to effectively address the
24 ratchet effect?

25 And whoever wants to go first.

1 Presume it's going to be Clif though.

2 DR. GAUS: I'll give it a try.

3 So, the predictability of, there's
4 various components that are benchmark. And I
5 think we have relatively achieved agreement
6 that the baseline includes it's three years
7 basically, of historical.

8 Sometimes it's there's debates about
9 it. How much weight would year one, two, three,
10 or even four and five have in that benchmark.

11 Then, the problem comes with how do
12 you adjust for all the different factors that
13 come to play in the future?

14 And I don't think we are at a point
15 where everybody is certainly comfortable. I'll
16 address the one element that is kind of the
17 elephant in the room right now for the ACOs,
18 which under the MSSP program, there was an
19 effort to bring predictability to future
20 benchmarks.

21 And they incorporated a, called the
22 ACPT. And that is a prediction about what the
23 total costs are going to be risk adjusted, over
24 the course of the five-year contract. CMS had
25 projected that at 3.9 percent.

1 So ACOs signing up in 2024, that was
2 the component of the benchmark. It turns out
3 that 2024 spending is almost nine, between nine
4 and 10 percent.

5 So, how does CMS adjust for that
6 inaccurate estimate, and why was it even
7 created? And if we don't fix that problem, the
8 result will be that ACOs will achieve -- will
9 not, will lose almost \$100 million in potential
10 earnings through the shared savings.

11 And so, there is -- everybody wants
12 a predictable, stable future-oriented
13 benchmark, but we still don't have the
14 solutions to it that I think everyone's
15 comfortable with.

16 And we're working on those
17 technology. Whether AI actually will bring a
18 better tool for predictability of trends in
19 spending, whether they're national, local, or
20 even for an ACO, I'm not sure.

21 It certainly should, but I've not
22 seen any reports of experiments or tests of
23 that yet. But anyway, it's there is no simple
24 solution.

25 DR. BHANSALI: Jim, if you have a

1 question or comment on that?

2 DR. WALTON: No, I want to switch.
3 Can I ask a different question?

4 DR. BHANSALI: Of course.

5 DR. WALTON: Yes, thank you for
6 sharing, and I was particularly intrigued by
7 Dan, some of your comments about where you're
8 moving with your data and some analysis.

9 So, I'm going to just direct this
10 question towards you and then maybe others
11 would respond.

12 The next right action oftentimes in
13 my experience as a provider, then leading an
14 ACO, were directed toward community-level
15 issues that patients were struggling with in
16 order to achieve their maybe control of their
17 rising risk. I'm going to pick on that one.

18 And I'm curious about what you might
19 think, what the group might think, about what
20 AI might actually miss or undervalue factors
21 because of a bias in the data toward people who
22 have utilized the system more,
23 disproportionately more than others.

24 And hence, undervalue or under
25 predict if you will, the actions, the next best

1 action to take, which is really more of a
2 social determinant at the community level,
3 rather than affects the individual's health-
4 related social needs, that would ultimately
5 produce a lowering of their rising risk.

6 And I'm just curious about how
7 you're thinking about that as you kind of lean
8 toward AI as a solution, and how do you, how
9 are you thinking about the, mitigating the AI
10 bias risk?

11 MR. LILJENQUIST: Yes, really great
12 question, and boy, if I, we have not figured
13 this out. We do have some ideas we're working
14 on.

15 One is think about it this way.
16 There are significant asymmetries of
17 information. And you're going to miss what's
18 happening in relatively healthy people's lives
19 until they understand there's an issue.

20 I'll give you an example. My
21 daughter was diagnosed with Type 1 diabetes two
22 years ago. She was 13.

23 Our demand for health care services
24 were fairly elastic, until they were inelastic.
25 And then all of a sudden, she went from being a

1 relatively healthy 13-year-old, to having
2 tremendous needs and that expectation changed
3 dramatically.

4 Now, she had diabetes for about six
5 months before her pancreas completely failed.
6 And so, we had that need.

7 You miss that all the time. You
8 also miss that with somebody who's got
9 hypertension, but they don't realize they have
10 hypertension, and they're in their 30s.

11 So, you're going to have asymmetries
12 of information. I think the difficulty is how
13 do you engage with somebody who is relatively
14 healthy and has the beginnings of metabolic
15 disease, and yet it takes them six months to
16 see a doctor, and they have to wait for hours
17 to try to get an appointment.

18 And how do you take that, how do you
19 make the ability to interface with the system
20 far easier and far cheaper to do, because
21 again, somebody with Type 1 diabetes is going
22 to wait to see a doctor no matter what. They
23 need insulin or they die.

24 Somebody who has got the beginning
25 stages of obesity and where we could really

1 make a difference, doesn't.

2 So, what we've been trying to figure
3 out and this is the analogy we've been using.
4 I have a neighbor who's blind, who is a runner.

5 And so, you would ask how does a
6 blind person run? Well, she runs with a friend
7 who is loosely tethered to her, and they run.

8 And every morning when they come up
9 to a stop sign is the tether tugging just a
10 little bit to nudge that person to slow down
11 and make a different choice.

12 We are trying to figure out, Jim,
13 how to build that tether, that ongoing
14 relationship between a health system and a
15 patient, to give them nudges.

16 And it may be as simple as hey,
17 you're coming up on your 30th birthday. Here's
18 what we recommend you do.

19 It's so that we can get some data
20 points. So that we can identify hypertension
21 early so that we can get somebody if they ever
22 become affordable, GLP1 as a prophylactic
23 measure against the development of metabolic
24 disease.

25 It's figuring out those interactions

1 when the demand is low, that will create
2 additional datasets to how to deal with the
3 population.

4 Now, to the overall bias that's
5 inherent in the data, we are very, very
6 concerned about that.

7 And we saw this play out in COVID,
8 that the treatments just were dramatically
9 different, and there were a whole bunch of
10 other factors that came in.

11 Our Pacific Islander population, for
12 example, died at twice the rate our Caucasian
13 population did here in Utah.

14 And so, I think what we're mostly
15 focused on is being very hypervigilant about
16 the tools that could drive that bias and
17 systematize that bias.

18 And they're subject to a higher
19 level of scrutiny, even up to our board, in
20 viewing our assessment of whether or not that
21 we are challenging what the results come out of
22 that, those tools.

23 But again, we are dealing with
24 imperfect datasets as they are. We're trying
25 to figure out how to expand the datasets into a

1 broader realm so that we can more effectively
2 engage with people when they're well or
3 developing early stages of diseases.

4 And then, working to refine around
5 particular populations, our data so that we can
6 again, that next right action hopefully one day
7 is going to be driven off of the best knowledge
8 we have about that particular individual, their
9 genetics, their makeup, their social
10 determinants of health, et cetera, so that we
11 can maybe meet them where they are.

12 But to say that we figured this out
13 is, would be a gross understatement. We're
14 just at the very beginning of this.

15 DR. WALTON: All right, just have
16 one follow-up. Is there any of you using any
17 type of analysis for AI bias as you're
18 selecting your -- AI tools?

19 Is that part of the contract
20 conversation? Are you aware of any AI bias
21 checks and balances within the tools that
22 you're looking at?

23 MR. LILJENQUIST: It is definitely
24 part of our assessment, Jim. What is tricky
25 about AI is getting replicable answers.

1 And it's often is the underlying
2 structure of your datasets have more to do with
3 getting replicable answers than maybe the tool
4 itself.

5 And so, clinically we are having a
6 clinician engage with every point when a result
7 comes out, we require a clinician to be
8 involved.

9 But it's really hard to tell how
10 much bias is inherent in the system as it is
11 today, and how much is being reinforced by AI,
12 is something that maybe Angelo, David, Clif,
13 you guys have better perspectives.

14 But this is an area of deep concern
15 for us.

16 DR. SINOPOLI: No, I don't have
17 anything to add to that. I think it was well
18 said. You probably have more experience with
19 that than we do, but.

20 DR. BHANSALI: At this time, we have
21 a break until 2:50 Eastern Time. Please join
22 us then as we have a great lineup for our
23 second listening session with experts giving
24 their perspectives on supporting primary and
25 specialty care transformation.

1 Dan, Angelo, David, and Clif, thank
2 you so much for joining us.

3 (Whereupon, the above-entitled
4 matter went off the record at 2:39 p.m. and
5 resumed at 2:51 p.m.)

6 * **Listening Session 2: Supporting**
7 **Primary and Specialty Care**
8 **Transformation**

9 DR. KOSINSKI: Welcome back,
10 everyone. I'm Dr. Larry Kosinski, one of the
11 PTAC Committee members. At this time, I'm
12 excited to welcome four amazing experts for our
13 second listening session on supporting primary
14 and specialty care transformation.

15 You can find their full biographies
16 and slides posted on the ASPE PTAC website. At
17 this time, I'll ask our presenters to go ahead
18 and turn on their video if you haven't already.

19 After all four experts have
20 presented, our Committee members will have
21 plenty of time to ask questions.

22 Our first speaker, we are super
23 excited to welcome back PTAC's first Vice
24 Chair, Ms. Elizabeth Mitchell, President and
25 Chief Executive Officer at the Purchaser's

1 Business Group on Health. Welcome back to PTAC,
2 Elizabeth.

3 MS. MITCHELL: Thanks, Larry. I'm
4 really glad to be here. And I know how hard
5 and important your work is. And happy to sort
6 of share some things that are happening in the
7 field that may or may not be of interest.

8 But we are, you know, I'm now
9 working with jumbo employers and public
10 purchasers, and I can assure you that primary
11 care is a top priority for them, as is changing
12 the payment system to enable it.

13 So, should I just jump right in?

14 DR. KOSINSKI: Yes. Please do.

15 MS. MITCHELL: All right. So who
16 are we? PBGH has been around for about 35
17 years. We have an average of 40 members,
18 public agencies like CalPERS, as well as
19 private employers like Walmart, Microsoft,
20 Boeing, Apple.

21 So just very large purchasers who
22 spend about \$350 billion a year on health care
23 on behalf of millions of Americans. And we've
24 had the same mission for about, you know, 35
25 years, which is improving quality,

1 affordability, and equity. Next slide.

2 One of the foundational strategies
3 for our members, and this is based on pretty
4 deep experience and obviously research, that
5 investing in primary care is one of the only
6 strategies that achieves all of employers'
7 aims, right.

8 So better access, better experience,
9 better outcomes, and lower cost. There are not
10 many strategies that can claim to be positively
11 affecting all of those areas. But primary care
12 is one. The other is high-quality specialty
13 care. But that's for another day.

14 But we know that, you know, even
15 though primary care accounts for only about 35
16 percent of health care visits, it does
17 influence 90 percent of spending.

18 And unfortunately despite its value,
19 despite the benefits, despite employer
20 prioritization, we typically spend about 4
21 percent on primary care.

22 This is deeply frustrating to
23 employers and purchasers who have been, I think
24 at least in the last several years, very clear
25 that they would like to see greater investment

1 in primary care.

2 When we measured on behalf of our
3 members, the average was about seven percent.
4 They are actively putting into their contracts
5 that they would like that amount to increase,
6 their contracts with health plans and their
7 direct contracts.

8 And I think this is an important
9 piece of context, so bear with me for a second.
10 But I also sit on the Board of the Office of
11 Healthcare Affordability in California.

12 And one of their, one of our
13 commitments is to increase the percentage of
14 total spend into primary care up to 14 percent
15 in the next several years. So there is growing
16 recognition of the need for this.
17 Unfortunately, we're not seeing the rapid
18 transition we would like to see.

19 So again, one of the, you know, if
20 you're just even looking at ROI from an
21 employer perspective, which at least my members
22 don't look at it that way.

23 But, you know, there's a 13 percent
24 savings for every dollar spent. That's, again,
25 it is just a very high-impact investment. And

1 there is deep recognition of that. Next slide.

2 You know, conversely there is a
3 pretty significant downside of not investing in
4 primary care. And when you're looking at it
5 again from a large employer perspective, you
6 know, you lose time, and there's additional
7 cost. And there's a higher total cost of care
8 when they aren't treated in primary care
9 initially.

10 Going way back, about seven or eight
11 years ago PBGH was involved in a CMS program.
12 We worked in California with even small rural
13 practices.

14 And with the right technical
15 assistance and payment changes, we were able to
16 quantify over 50,000 avoided hospital visits,
17 you know, over 60,000 avoided ED⁵⁵ visits. And
18 again, this was all from giving primary care
19 the right tools, the right data, the right
20 staffing, and the right payment.

21 So we have, I think this has been
22 demonstrated over and over, you know, both in
23 other countries, but also in pilots across the
24 U.S., that this is the right investment.

55 Emergency department

1 Unfortunately, it just hasn't scaled. Next
2 slide.

3 So what I'm going to talk about is
4 sort of two things. One is PBGH's sort of
5 national work around advanced primary care, as
6 well as our deeper work in California through
7 our technical assistance team, called the
8 California Quality Collaborative. They work
9 directly with practices.

10 And so we have sort of two
11 initiatives that have been very high priorities
12 for the members. And they both also are
13 related and coincide.

14 But we started with sort of one of
15 the key attributes that we're trying to
16 achieve, right. It is, you know, access the way
17 patients prefer. We know we need
18 interdisciplinary care.

19 One example that I'm going to come
20 back to again and again, integrated behavioral
21 health is a top priority for our members, that
22 requires an interdisciplinary team.

23 Patient care is beyond the chart.
24 We are absolutely thinking more broadly than
25 what just happens in the primary care visit.

1 How do we deal with social needs?

2 And we, you know, I was part, when I
3 worked at Blue Shield of California, I was part
4 of an initiative where there was social needs
5 screening in practices.

6 But one of the takeaways was that
7 they're doing the screenings, they're finding
8 these needs, and then the clinical team didn't
9 know what to do with them. So there was an
10 expansion to community health workers.

11 So again, just thinking more broadly
12 than the traditional teams is very important.
13 And then it's obviously got to integrate with
14 the rest of the system.

15 So again, I don't think any of this
16 is terribly controversial. It's what everybody
17 wants. But it is not typically reflected in
18 most primary care. Next slide.

19 So we started out with once we had
20 really clearly established the priorities, like
21 what we wanted care to look like from the
22 purchaser and partner point of view, you know,
23 how would we measure it? How would we know
24 that we had it? And how do we translate that
25 into measures that could be integrated into

1 contracts?

2 So we came up with a common measure
3 set. Again, this was meant to be not rocket
4 science. These are not terribly controversial
5 measures. But they were meant to be
6 consistent, and parsimonious, and evidence-
7 based obviously.

8 So again, I'm happy to share this
9 with you. I know this is a very small font.
10 But we looked absolutely at outcomes and
11 prevention. So are they even able to get in,
12 and then get the screenings and the care they
13 need?

14 We highly value and prioritize
15 patient-reported outcomes. I understand that
16 the methods for measuring patient outcomes have
17 been around for decades. They have not been
18 taken up by the industry for the most part.

19 In fact, in California recently we
20 went backwards. We stopped doing patient-
21 reported surveys. So we know that this is a
22 critical component, not just for employers but
23 for patients.

24 And frankly it is one of the best
25 ways to get at equity, because you're actually

1 asking the patient, and they are giving you
2 their feedback from their perspective.

3 So, depression screening for
4 adolescents and adults. But then we included
5 depression remission, because it's not enough
6 to do a screening. How, what is the patient's
7 health, and is it sustained?

8 Patient safety, experience, and
9 high-value care, so emergency department
10 visits, inpatient acute hospital utilization,
11 and total cost of care.

12 And I appreciate that the total cost
13 of care measure is sometimes controversial. I
14 will say from a purchaser perspective, it is
15 non-negotiable.

16 Because this, our goal here is not
17 to save money by doing the wrong thing. But it
18 is absolutely to make sure we are doing the
19 right thing.

20 And we know that investing in
21 primary and preventive care and being willing
22 to pay more for primary and preventive care
23 typically reduces total spend.

24 So we believe these things are
25 integrally linked. And it's very important

1 that we are not paying more for worse care. It
2 is about paying for the right care. Next
3 slide.

4 So one of the things that we did was
5 after we got consensus on measures, we started
6 integrating them into health plan contracts.
7 And we obviously partnered with providers to do
8 this as well.

9 And the key feedback we heard is
10 that, yes, we would all love to do these
11 things. But the key barrier is payment. We
12 are not paid to do the things you are asking us
13 to do.

14 So no surprise, we know, you know,
15 fee-for-service and 15-minute visits don't get
16 us there. If you're not paying for, you know,
17 behavioral health screenings or community
18 health workers, or all the things that actually
19 improve health, it's not possible.

20 So we actually know that payment is
21 the primary barrier. And our members set out
22 to change that. And in their contracts, they
23 are demanding that we have Alternative Payment
24 Models, specifically prospective population-
25 based payment models, so that practices have

1 the flexibility they need to provide the care
2 that everybody wants.

3 Our members are also really clear
4 about the fact that advanced primary care, as
5 they define it, includes quality-based
6 specialty referrals.

7 We know that that is one of the key
8 approaches to both outcomes, experience, but
9 also total cost. And we also know that quality
10 varies wildly, so there has to be a way to
11 ensure that when a referral for specialty care
12 is made, it is done taking into consideration
13 the quality of the provider who is receiving
14 that referral.

15 I am well aware that that is
16 challenging. I am well aware that most primary
17 care providers don't have that information. I
18 am also well aware that quality-based referrals
19 bump right up against a lot of business
20 arrangements in health systems.

21 That's why our employers want to do
22 it, because someone has to drive that on behalf
23 of employers -- on behalf of patients, sorry.
24 So we know we want to pay for a team, pay for
25 the data and analytics needed to manage

1 population health.

2 We need to hire the community
3 extenders that make this possible, that go into
4 homes and into communities to actually meet
5 patients where they're at, integrate mental
6 health, and pay for physical therapy,
7 particularly for our manufacturer members like
8 Boeing.

9 They know physical therapy is a key
10 need among their population. They want that
11 integrated into primary care, and they're
12 willing to pay for that. Next slide.

13 Unfortunately, even though we get a
14 lot of consensus among our employer members
15 about the standards that they would like to
16 see, when this goes through a bunch of health
17 plans to administer, it often gets lost in
18 translation.

19 So all of these plans have slightly
20 different approaches. And again, no shade on
21 any of the specific plans. But if every health
22 plan has a different measure set, or a
23 different denominator, or a different payment
24 model, that does not make it easy for
25 practices.

1 There has to be alignment so that
2 they can actually make the change for everyone
3 in their practice across their population.
4 Next slide.

5 So what our members decided to do
6 when it was really challenging to get that
7 alignment, many of them just decided to direct
8 contract. And that has been, that is not new
9 for our members. They've been direct
10 contracting for decades.

11 But there was a specific focus on
12 direct contracting for advanced primary care.
13 We have recently launched a very significant
14 initiative in Puget Sound where they're
15 directly contracting using the same measures,
16 using the same contracts, and using the same
17 payment model for primary care.

18 This is a new initiative, but it's
19 with three jumbo employers. So it was not just
20 one employer asking for this. We know we have
21 to align on our side.

22 But we've got three very large
23 employers, including Boeing and eBay, and
24 another that I'm not at liberty to name. But
25 it's really big.

1 And they are saying, these are the
2 things we want. We will pay you more. We will
3 pay you differently. We will make it flexible.
4 But we are going to hold you to the same
5 standards to make it easier. Next slide.

6 So in California we took a somewhat
7 different approach, because we are trying very
8 hard to align also with our health plan
9 partners. Because we know jumbo employers --
10 first of all, they're not jumbo everywhere. So
11 they can't drive every market change. They
12 typically have very small benefits teams. They
13 need their health plan partners to do this.

14 But we had to then do the work in
15 California to sort of get the health plans to
16 align. I want to give a really big shout out to
17 Blue Shield of California, who I know is there,
18 who really helped us drive this work, and
19 helped us enlist plans.

20 But we had seven health plans step
21 up and say they wanted to do this with us,
22 which was really gratifying. It ended up being
23 three who actually went forward with the
24 aligned payment model. But at the same time,
25 we really have been really appreciative of the

1 partnership and the readiness to change.

2 So we started with that measure set
3 that I showed you, and we worked with
4 practices, and then we went the next step in
5 California. And there is hopefully a summary
6 of this initiative that will be shared with
7 you, I sent it along, that showed that by
8 aligning these like, even three health plans,
9 doing the work of aligning can actually really
10 drive change. Next slide.

11 So we made clear from the outset
12 that there were a few key tenets of this work.
13 Again, we have the measures that we want to
14 achieve. And we have the partners lined up to
15 do it.

16 But we really wanted to be sure that
17 we have transparency. We've got to be able to
18 see and know the outcomes. We've got to invest
19 and actually pay more for primary care. And
20 we've got to have value-based payment.

21 And that we have to also provide
22 practice transformation. Because the changes
23 we are asking for at the provider level and the
24 practice level are significant.

25 So we had the grand signing of a

1 memorandum of understanding to do this
2 together. Common quality measures, increased
3 investment, shared technical assistance, and
4 clear and aligned performance incentives. So
5 this was I think a real breakthrough, and
6 really quite exciting. Next slide.

7 So, what is the common value-based
8 payment? Well, payment for direct patient care
9 using a mix of capitation and fee-for-service.
10 We're tentatively calling this fee-for-service
11 plus. That will be one option, or PMPM payment
12 to support population health management. And
13 that's up to a 15 percent increase. And then
14 performance-based payments based on the
15 standard APC⁵⁶ measure set, so the measure set
16 that I referenced earlier. And an upside of up
17 to 15 percent.

18 So again none of this is radical, I
19 don't think. None of this is, you know, it's
20 all evidence-based. And it's been demonstrated
21 in various pilots that this works. But the
22 idea was to try to scale it at least across 30
23 practices in California. Next slide.

24 By the way, sorry. Well, I can say

56 Advanced Primary Care

1 this here. One of the things that was actually
2 another key enabler of this was a common
3 reporting platform.

4 And we partnered with IHA in
5 California, the Integrated Healthcare
6 Association, who does collect this data, who
7 does have a common reporting platform.

8 So when you think about all the
9 basic infrastructure pieces needed for multi-
10 payer payment reform that just don't exist,
11 this was a key example, right.

12 There's no common reporting platform
13 where, you know, measures are collected in a
14 standard way. And we are fortunate in
15 California to have IHA as a partner who can do
16 this.

17 So again, just, there are so many
18 missing building blocks, but we are able to
19 sort of overcome those barriers with partners
20 like this.

21 So one of the things we're asking
22 for, and then I'll wrap up. You know, join.
23 Join this work. Like, align with the payment
24 model. You can, in California, we also have
25 IPAs. You have to sort of enlist. And just

1 align your incentive programs with the APC
2 measure set.

3 We've all got consensus that these
4 are the right measures. This is what matters.
5 So how can you, from whatever contracts you
6 have move towards alignment?

7 Again, those IPAs need to have a
8 challenge. But like, we are asking them to
9 also align their payment model and their
10 P4P⁵⁷incentive programs.

11 And then what we've asked our
12 purchasers is really engage in a collaborative
13 dialogue with your plans and practice partners.
14 Understand what the barriers are and help
15 overcome them.

16 Again, I can speak for our members.
17 They would like to see change. But they're
18 also willing to participate in that. If you
19 need a contract change to allow you to do this,
20 tell us. There is a readiness to make these
21 changes.

22 Identification of multi-payer
23 collaboration as a key aim here. Understanding
24 those operational hurdles, I worked in a health

57 Pay-for-Performance

1 plan. Those legacy fee-for-service systems are
2 not designed for this work.

3 Okay. So there's an operational
4 barrier. How do we get around them? Plans are
5 finding new like start-up partners who are able
6 to or need different types of payment models.

7 But we've got to overcome these
8 legacy barriers, recognize the time, resources,
9 and adaptations needed. This is not easy.
10 This is sort of no one's day job typically.

11 And then realistically assess the
12 feasibility for the pace of change. And
13 recognize this might take a two-to-three-year
14 contract, or amendment, something.

15 We know it's going to take time.
16 But we need to start. And then really
17 incentivize collaboration or at least remove
18 the disincentives to collaboration.

19 So these are some of our key
20 findings. Happy to answer any questions.

21 DR. KOSINSKI: Thank you, Elizabeth.
22 It's so nice to hear your voice again. Next
23 we're excited to welcome Dr. Joe Kimura, Chief
24 Medical Officer at Somatus. And we are
25 especially fortunate because he's here in

1 person. Please go ahead, Joe.

2 DR. KIMURA: Thank you very much.
3 And thanks to the Committee for inviting me to
4 participate in this panel. And it's a privilege
5 to be here, and I really look forward to the
6 discussion as well.

7 So I think I've got control of the
8 helm here. Just briefly, I think after
9 Elizabeth's presentation, I'm thinking what my
10 position is, and what my role would be in terms
11 of reflecting and opining to the Committee.

12 And I think, I think my role is as a
13 provider and a leader who has been working in
14 various levels of delivery systems from highly,
15 highly structured fully integrated systems,
16 towards partially integrated systems, to where
17 I am today, where we are a specialty-based VBC,
18 with however you can call them provider
19 adjacent to provider supportive organization.

20 Somatus is just an organization that
21 cares for patients with CKD but and takes total
22 cost of care accountability for that particular
23 population.

24 So I'm a PCP by practice. And all
25 of you know that once patients get over a

1 certain age, almost everybody has CKD. And so
2 that morbidity of the population is the
3 population Somatus cares for and does that from
4 a vantage point of working alongside providers
5 in the community, as well as health plan
6 resources, to try to drive accountability and
7 outcomes.

8 So quickly, prior to coming to
9 Somatus in 2022, I was in an organization
10 called Atrius Health/Harvard Vanguard Medical
11 Associates. We were one of the original
12 Pioneer ACOs.

13 Stuck with it all the way through,
14 through shared savings, as well as one of the
15 original participants in the Blue Cross AQC⁵⁸ on
16 the commercial side as well.

17 And because we were an organization
18 that was fully capitated for over 80 percent of
19 our revenues, 50 percent of our patients, 80
20 percent of our revenues, managing in this space
21 across 32-plus specialties was one of the
22 things that I experienced firsthand, both as a
23 practicing PCP, as well as an administrator.
24 And so hopefully will bring that perspective

58 Alternative Quality Contract

1 in.

2 The other side of my world, and this
3 is sort of a commentary of the prior panel
4 conversation we had. I'm boarded in clinical
5 informatics. So my whole world in value-based
6 care and thinking about how informatics and
7 analytic capabilities can help transform health
8 care has been something that's been dear to my
9 heart for 20-plus years. And again, has
10 serviced me well I believe as we're practicing
11 in all of the different settings that I
12 explained.

13 So with that let me jump in first
14 and say, thinking about primary care and
15 specialty care collaboration, and how to
16 promote that a little bit more. I always like
17 to think through and say, well, what are we
18 talking about there? What does that really
19 look like?

20 And fortunately, rather than opine
21 from my own perspective I just brought forth
22 ACP⁵⁹'s 2022 sort of policy paper that said, in
23 order for primary and specialty care to
24 collaborate better, there's four principles

59 American College of Physicians

1 that they'd submit.

2 Patient family partnering. Define
3 clinical roles and responsibilities. Timely
4 productive communication between the
5 specialties. And of course, effective data
6 sharing.

7 Now those of you who are familiar,
8 and I'm assuming many of you on the panel are
9 familiar with this report, there is a 33-page
10 example document that goes along with it that
11 goes into a lot of details that I feel are very
12 appropriate.

13 I find myself as a clinician saying,
14 yes, these are the challenges we face when we
15 have patients with CKD 4 or 5, lots of
16 behavioral health, as well as obviously
17 nephrology concerns.

18 And I as a PCP need to manage that.
19 I can't just give it all to the nephrologist.
20 The nephrologist can't punt it all back to me.
21 So how does that work?

22 I think the ACP document highlights
23 some of the core areas of what generally needs
24 to happen. And a question I believe the panel
25 is asking is, well, how do you make that happen

1 more robustly, and more reliably, and more
2 effectively?

3 So I took the liberty of just taking
4 one step back and saying from my experiences
5 this is how generally, and a very, I will
6 apologize, a very rudimentary schematic in
7 terms of my PowerPoint skills.

8 But thinking about, how do you get
9 multiple people on a team to drive towards
10 achieving a particular performance in an
11 outcome KPI⁶⁰?

12 And this is not an uncommon
13 structure, right, where you have as you might
14 imagine, on the left-hand side Provider A,
15 driving things maybe on case finding, and sort
16 of initiating that process.

17 But then multiple specialists or
18 other providers starting to be participatory in
19 terms of closing those gaps. Then ultimately
20 the PCP starting to come back in and saying,
21 how do I wrap it up together and have the
22 communication?

23 Now all of these folks are involved
24 in driving performance on that end KPI. So how

60 Key performance indicator

1 do we try to get everyone aligned?

2 And when I reflected upon our
3 experiences in the various organizations, I
4 would postulate that there's five areas that
5 this panel has probably spoken about ad
6 nauseam. But I'm going to highlight for the
7 group today.

8 And the first is align clinical
9 culture. I know culture is always a hot button
10 topic. But I do feel like what I mean by align
11 clinical culture is that there needs to be
12 general agreement upon what is the best
13 clinical practice among primary care and all
14 the specialties. And I would actually extend
15 that to say all the care team members involved
16 in that care for the patient.

17 It's easy to point to evidence-based
18 guidelines that are published and say, of
19 course, we're all following our specialty
20 guidelines. But as many of you know too,
21 sometimes there's discordance.

22 Particularly I'd call attention to
23 things like mammography screening or Pap
24 screening when the generalist guidelines and

1 ACOG⁶¹'s guidelines sort of diverge a little
2 bit. Adds to a little bit of tension in that
3 space.

4 But in general thinking about how do
5 you align across that clinical culture is kind
6 of a prerequisite because it creates that
7 foundation for everything that starts to follow
8 after it.

9 And the second one that I think is
10 equally important, and probably more so now,
11 given the stressors that all the clinicians and
12 all the care teams are facing, is an aligned
13 clinical operational system.

14 And this is where I would point to
15 all the point of care decision support, all the
16 EHR configurations, everything that allows
17 those workflows to go smoothly. That needs to
18 then also be aligned.

19 Because the last thing that I found
20 that you need is certain configurations firing
21 at one point, driving certain decision support
22 rules, conflicting with what the PCP or the
23 specialists are saying.

24 When that happens, then you

61 American College of Obstetricians and Gynecologists

1 automatically place clinicians in conflict with
2 one another. And they're trying to figure out,
3 oops, excuse me, how to actually navigate that.

4 The third is probably one of the
5 most, I don't know, I would say sort of easiest
6 to understand. But probably one of the most
7 difficult things to try to do today, is to make
8 sure that throughout that entire process chain
9 you have clinical informational continuity.

10 And we talk about interoperability.
11 We talk about the fact that we are promoting
12 it. But I think everyone in this room knows we
13 are still stuck at higher levels of
14 interoperability, and not at the semantic
15 levels that really are required to make
16 necessary decision points as you're passing
17 from the left towards the right.

18 Again, timeliness of this is also an
19 important one area. The example that I always
20 give is post-discharge, there are about 30
21 people jumping in on that patient trying to
22 figure out how to actually make sure that a
23 readmission doesn't happen.

24 When that happens, that trigger,

1 whether it's an ADT⁶² feed or some other flag,
2 some of that information comes, we have found
3 in various organizations that it doesn't help
4 as much when it comes 14 days post-discharge.

5 And again, one of those areas that
6 everyone knows what should happen. And yet it
7 still seems challenging for us in today's
8 environment to make happen.

9 Fourth one is transparent
10 performance management. And this one I'm going
11 to put my manager and administrator hat on a
12 little bit.

13 And this is where sometimes we tend
14 to blind and work in a space where we say I'm
15 just going to give a particular physician their
16 particular feedback.

17 And I'm actually going to expand it.
18 It's not just physicians. It's nurses, it's
19 pharmacists, it's everyone on that care team.
20 How do we make sure that those clinicians are
21 getting that information?

22 Not about their own performance,
23 which is always critical, but overall that
24 entire process and say where is that process

62 Admission, discharge, and transfer

1 falling down? Who's actually accountable for
2 that?

3 And this was easier to do in certain
4 settings, harder to do in others. But I would
5 say collective pressure, or collective desire
6 to improve care for the patients leads to some
7 great performance outcomes.

8 And then finally something that I
9 think is of course the core to this Committee,
10 aligned financial incentives.

11 And I would say that it's not just
12 around the incentive structures of penalizing
13 or rewarding. I would say that it's very core
14 to the core compensation model of the
15 specialties and primary care that needs to
16 complement that.

17 Because I have seen in many examples
18 incentives just become completely neutered when
19 that core model is not following suit. So a
20 couple of core concepts.

21 And if I were to then take two steps
22 back, and I added a little bit here at the
23 bottom. And I apologize, the font got super
24 small.

25 Not only are the physicians and the

1 clinicians doing this, there are usually care
2 teams in addition to this, right. Particularly
3 in the world of population health management.

4 Not only does the primary care
5 clinician have them, in my current role in
6 Somatus, we complement our nephrology specialty
7 colleagues providing that service.

8 And usually the health plan is also
9 jumping in with a care plan along those lines,
10 all in the services of dropping the readmission
11 rate, improving the HEDIS⁶³ scores or the Star
12 measures, you name it. All the accountability
13 is there.

14 How do you actually bundle all of
15 this together? And if I reference back to the
16 five points from before, and I refract them
17 through either a really tightly integrated
18 system like Kaiser Permanente, a partially
19 integrated system like Atrius Health.

20 In Atrius we just had the outpatient
21 side. We were not connected with inpatient,
22 either hospitals or skilled nursing facilities.
23 Or a provider adjacent or provider supportive
24 model like Somatus.

63 Healthcare Effectiveness Data and Information Set

1 I put forth sort of the elements
2 that are there mostly because as an executive
3 in an organization like this, you have lots of
4 levers that you could pull.

5 And you want to pull all of them to
6 try to promote the fastest most efficient way
7 of getting those KPI outcomes. It is much
8 easier to do when you control that entire
9 spectrum of things.

10 And I think in the prior panel you
11 were hearing from organizations that also had
12 those kinds of controls and abilities, where
13 they controlled the entire informational
14 control stream. They actually had employed and
15 non-employed physicians but also had that
16 system from outpatient to inpatient all the way
17 through.

18 As you start to drop down, and as
19 the system becomes, if I call more loosely
20 integrated, I do believe the power, even though
21 the core interventions across remain the same,
22 your ability to impact or pull that lever as
23 hard as you wanted to starts to get harder, and
24 harder, and harder.

25 Whether it's the data side of it,

1 obviously the compensation side of it, or even
2 the clinical culture side of it, it becomes
3 harder because again that locus of control
4 starts to get looser, and looser, and looser.

5 That, however, does not mean that
6 it's impossible to do in these settings. I
7 truly believe, and my experiences in Somatus
8 over the past two years have demonstrated you
9 can move the needle tremendously well as long
10 as you're focusing on those five things and
11 trying to provide the level of support.

12 It is harder, I will say that 100
13 percent when you don't have these things fully
14 in your control. But that doesn't mean it
15 doesn't work. And if you find creative ways to
16 do it, you can bridge.

17 So with that, how do you then say,
18 if that's the how, how do you incentivize it?
19 How do you grease the skids? How do you make
20 it work better?

21 And when I was polling through, most
22 the panel probably goes to where I went
23 originally. There was an article by the late
24 John Eisenberg and Peter Greco in the New
25 England Journal in '93, '94 that talked about

1 changing physician practices, right.

2 But that was '93. So looked back in
3 the literature and said, what else is there?
4 What other kinds of reviews have been done,
5 particularly in the age today where there's a
6 lot more informatics capability coming through?

7 And the folks at McMaster
8 University, Dr. Bhandari and the colleagues in
9 2015, still a little bit old, but pulled this
10 together. Just sort of looked at the
11 literature and say, what are the elements that
12 start to facilitate better team-based care
13 across primary care and specialty care?

14 And this list is probably not
15 surprising for anyone on the panel or in the
16 listening audience. But I wanted to highlight
17 some of the things in red that are probably
18 getting a lot more play today.

19 And perhaps some of the things like
20 printed educational materials may or may not be
21 used as much today, even though they were
22 obviously assessed in that particular review.

23 I do believe there's a lot of these
24 levers that one can pull. One of the things
25 that came out of this particular paper clearly,

1 and again lots of face validity.

2 Multifactorial interventions are
3 clearly superior to any one of these in any
4 particular point in time. And that was
5 something that Dr. Eisenberg and Greco also
6 mentioned almost 20 years ago.

7 But here's the thing. And as I sort
8 of pull forth and say all that literature is
9 great. It's like going through and saying,
10 polling through John Kotter's stages of change.
11 And you're trying to figure out how to apply
12 it.

13 In reality, I have found that it's
14 challenging to help bring these changes
15 forward, in particular because I think our
16 clinician workforce in today's world where
17 there are so many competing demands requires
18 something a little bit more than just pure
19 dollars or operational change.

20 And this is an old paper. I think
21 it's 1983, coming from Andre Delbecq who talked
22 about justice as a prelude for forming teams.

23 And the concept here for those who
24 have not read this paper is really talking
25 about the fact that, look, physicians and

1 clinicians truly have the patient's best
2 interest at heart.

3 And at the end of the day, there's a
4 desire to say, I'm thinking about the best
5 interest of that patient. And it tends to pull
6 apart, rather than pull together when things
7 get really stressful.

8 But what they have found, and again
9 I still find 30 years later, that this tends to
10 be something that I've found again and again in
11 all the settings that I've worked in.

12 If you are doing this together and
13 working with the providers in the front lines
14 to say, here is what we're trying to achieve
15 collectively, and that requires transparency.
16 It requires having information across the
17 entire process.

18 And then visibly processing that,
19 the pros and cons of a particular change with
20 them can start to lead towards more commonality
21 and the decision to be able to say, yes, let's
22 all move forward.

23 And in a sense, his last line here
24 is, in a sense justice is substituted for
25 cohesion, which as a management person I would

1 say, I'm going to take that as I'm moving
2 along.

3 Because I've been held accountable
4 for measures to perform every 12 months. And I
5 don't have five years to help them actually get
6 to the place they need to be.

7 The applied example of this for me
8 was at Atrius Health. And at Atrius because we
9 were capitated, we had a particular physician
10 compensation committee that brought together
11 all of the physicians and representation of
12 probably 12 to 13 of the specialties across our
13 lab and radiologists, our surgical specialties,
14 med specs, primary care had disproportionate,
15 internal medicine, pediatrics, et cetera, came
16 together with our HR and finance colleagues
17 each year to set the compensation model for our
18 clinicians.

19 And that conversation was probably
20 one of the most important things that led to
21 the buy-in, particularly when we knew we were
22 actually about to go into a new ACO contract
23 with 25 new accountability measures that no one
24 was going to want to actually change their Epic
25 clicks and all that stuff for.

1 How do you actually get everyone to
2 that space? And that collective conversation,
3 we always took heat from the community, saying
4 it was kind of like a socialist compact.

5 But we actually turned around and
6 said, look, radiology knows that primary care
7 for a capitated organization is the driver of
8 revenue for the organization.

9 Therefore, even though it's hard to
10 recruit radiologists or dermatologists,
11 substitute high-paid specialty on the left-hand
12 side, we had to somehow figure out how to share
13 that pie, given how the economics of the
14 organization worked, and how we needed to split
15 that through to try to make sure all the
16 specialties were supported.

17 Because it was a team gain. It
18 wasn't that if primary care lost because they
19 couldn't hit all the quality KPIs, orthopedics
20 lost. So how do you actually link everyone
21 together? And that was a brutal conversation
22 at times, particularly around COVID when
23 everyone was hurting.

24 But at the same time, I think some
25 of those areas allowed the process and that

1 forming of justice that allowed the
2 organization to push forward and be able to
3 actually drive the changes that we needed.

4 And I'll conclude with two things.
5 One is something that probably this Committee
6 is, and everyone is very aware of. The burnout
7 problem, if I can call it that way, is
8 ubiquitous, and continues to be a challenge
9 across not just clinicians but all staff
10 members in the health care team.

11 When we think about that and think
12 about all the challenges and the root causes
13 for that, throwing money at folks is probably
14 not going to be sufficient to actually help
15 overcome some of these things.

16 And we're seeing it too. I'm sure
17 all the organizations are seeing it,
18 particularly as a newer generation of
19 clinicians are coming through.

20 There is a tradeoff, right, in terms
21 of time and money. And you can't sometimes pay
22 more or pay enough to be able to overcome that
23 aspect, or trying to figure out how do I
24 balance this.

25 And lots of folks have jumped in.

1 The AMIA⁶⁴ and the informatics community has
2 actually driven the desire to drive
3 documentation burn down to 25 percent of where
4 it is from current state in five years. I
5 think there's a long way to go in terms of
6 that. But also making things easier for folks
7 to do the right things consistently.

8 So in that I always want to end with
9 a positive story. And so I pulled three
10 examples on literature. And again, even though
11 the original publication comes from integrated
12 delivery systems, I would say that this, these
13 concepts are expanding and have, I've seen
14 replicated in looser systems and even academic
15 medical centers.

16 So the complete care program at
17 Kaiser was something where it is a remarkable
18 thing if you've seen this actually in
19 operation. And Mike Kanter used to run quality
20 and published on this back in 2014 I think.

21 But it is the concept where the
22 entire system leans in to begin to say, when
23 you're actually thinking about getting a
24 mammography, or a colonoscopy, or getting

64 American Medical Informatics Association

1 someone checked for an A1C, or their retinal
2 eye exam done, you need to leverage every
3 contact in the system, whether it's the PCP,
4 the orthoped, the hospital, the urgent care
5 center, even the skilled nursing facility.

6 All those touches gets to lean in.
7 And it is all about trying to be sure you're
8 not slowing down that dermatologist, or slowing
9 down that orthoped. But when you create a
10 system where the incentives are aligned, the
11 informatics are supporting it, you lean in, you
12 can start to get tremendous performance.

13 And that was in 2014. If you look
14 at some of their literature today, it's
15 actually pretty impressive where they've gone.

16 The second example is SureNet.
17 SureNet is something that Kaiser started. But
18 again in Boston we started to do this. And
19 Brigham and Women's has actually advanced this
20 as well too.

21 Where you're thinking about using
22 automated systems, right, to be able to screen
23 and create capacity, whether it's AFib⁶⁵ or
24 prostate cancer screening, or other areas, you

65 Atrial fibrillation

1 basically allow the urologists and the
2 specialists to use the same data that primary
3 care is using. And you begin to automate those
4 referrals forward.

5 Again, because there's an agreement
6 around whether or not if, and again the example
7 in this paper was specifically around PSAs⁶⁶,
8 where they were saying, if there is a PSA
9 that's highly elevated, that everyone agrees
10 needs follow-up.

11 And yet there is no follow-up that
12 is seen in the system in 12 weeks. Then we've
13 all agreed that urology is not going to wait
14 for the PCP to create that referral. We're all
15 agreeing we're going to pull that person into
16 referral.

17 I have one minute remaining. Last
18 example, Geisinger's Ask-A-Doc. And I think
19 this is an example that all of us have seen,
20 particularly post-COVID.

21 So e-consultations. What does it
22 do? What does it help? It smooths the aspects
23 of communication between primary care and
24 specialty care, particularly outpatient space

66 Prostate-specific antigens

1 where it's hard to do, curbsides when
2 everyone's in separate buildings.

3 But that capability was demonstrated
4 at Geisinger to significantly reduce
5 unnecessary EDs, as well as total cost of care
6 reductions.

7 So I think there's examples that are
8 out there that show this. And it starts, and
9 it's almost always published in these
10 integrated systems, because they have all the
11 systems, the tools, the capability.

12 But I would encourage the panel to
13 say many of these examples 10, 15 years later
14 are starting to diffuse out into loosely
15 coupled systems with equal success. And I
16 think it's something that should be promoted
17 and allows all of us to provide better care for
18 our patients.

19 And I think I'm going to hand it off
20 to Rob.

21 DR. KOSINSKI: Thank you, Joe.
22 Thank you so much. Next we are happy to
23 welcome back Mr. Rob Mechanic, who is a Senior
24 Fellow at Heller School of Social Policy and
25 Management at Brandeis University. He's also

1 Executive Director at the Institute for
2 Accountable Care. Great to have you here, Rob.
3 You have two to follow.

4 MR. MECHANIC: Okay. Well, thank
5 you so much. Thank you to the Committee for
6 inviting me. And it's great to be on a panel
7 with such esteemed colleagues, several of whom
8 are good friends of mine.

9 Now if we go to the next slide. So
10 the question that I was asked to address was,
11 what are specific approaches for nesting
12 episodes of care in total cost of care models?

13 And this is something that we've
14 been talking about a fair amount for the last
15 three years, starting with a blog by the folks
16 at CMMI. And the conversations continue.

17 But the discussion's been mostly
18 conceptual. There's sort of been very little
19 concrete discussion about how would you
20 actually do that. So I'm going to spend a
21 little bit of time talking about how might you
22 actually do that.

23 I love Dr. Kimura's discussion,
24 because I think the concept of using episode
25 frameworks to look at clinical care and to

1 redesign clinical care makes a whole ton of
2 sense.

3 But I'm going to raise some cautions
4 about trying to impose an episode financial
5 structure on top of a financial structure that
6 is already there to manage total cost of care,
7 some of the challenges that would need to be
8 overcome to really do that well. So if we
9 could go to the next slide.

10 So this is not so dissimilar from
11 the concepts that CMMI raised several years
12 ago, which is well, what are some strategies to
13 do this?

14 So one is, you could provide data.
15 And you could say, hey ACOs, use this data and
16 develop some protocols and incentives inside
17 your system. So this is sort of the concept of
18 shadow bundles.

19 And CMMI did provide what they
20 called shadow bundle data to the ACO community.
21 The shadow bundle data was only for the ACO
22 beneficiaries.

23 So for many ACOs, and I'll show some
24 information as we move along, it wasn't a whole
25 lot of volume or sample size. So it makes it

1 much harder to draw conclusions from the data
2 that they're looking at.

3 So second piece would be require
4 ACOs or encourage ACOs and their providers to
5 join bundle payment models. ACOs have always
6 been able to do that.

7 But participation in the federal
8 bundle payment models has really sort of cut
9 back from a few years ago. Because of the
10 imperative to make it, you know, savings for
11 Medicare. So not a lot of ACOs are in bundle
12 payment models.

13 We do now have a mandatory model
14 team that's going to start next year. And so
15 about one in five ACOs have a hospital that
16 will be part of team. And I actually think it
17 could be beneficial in driving integration
18 between the ACO and specialists and hospitals.

19 So another idea raised, set
20 condition-specific benchmarks. Again, this is
21 something that Joe talked about a little bit.
22 Or I shouldn't say, Mike Barbaty talked a
23 little bit about this working with one of their
24 renal practices.

25 So one of my questions here on the

1 condition-specific benchmarks. You can
2 envision a collaboration between ACOs and
3 hospitals or specialty practices.

4 But my question is, is it going to
5 be a zero-sum game? So if you nest episodes
6 inside of total cost of care, is, can it be a
7 net win, and not a net loss for somebody?
8 Because if you make it a net loss for somebody,
9 it's not going to go over all that well.

10 And so where is the balance between
11 the opportunity and the risk when you already
12 have risk? How do you make this into a win-win
13 approach?

14 Another idea, structure some kind of
15 a medical home type of approach. And we have
16 had primary care medical homes nested inside of
17 ACOs. And that seems to make a fair amount of
18 sense.

19 But do something more like a
20 specialist medical home with incentives for
21 longitudinal specialty care management.

22 And that I would envision as
23 something a little bit more like a GUIDE Model
24 where there's not necessarily additional risk,
25 but there are additional resources for programs

1 that have met a set of criteria to manage a
2 particular population, and sort of jump-start
3 some of that really focused specialty care
4 management.

5 And then, you know, the last kind of
6 related question is, how do you, how would you
7 reconcile if we're going to nest bundles as a
8 payment model into a total cost of care? How
9 do you reconcile that as shared savings?

10 And historically that's been a real
11 bugaboo for CMS. It's been very complicated.
12 People don't understand it. It's easy to make
13 mistakes. There are distortions.

14 And so much so that for the TEAM⁶⁷
15 model they said, we're going to throw that out.
16 We're just going to reconcile the programs
17 separately. We want the ACOs to participate.

18 We don't want to drive them crazy
19 with some complex reconciliation. So we're
20 going to keep that separate. So that's I think
21 a key question going forward.

22 If we go to the next slide. I think
23 episodes have a lot of benefits. But they also
24 have a lot of challenges. The first is if you

67 Transforming Episode Accountability Model

1 are trying to measure episode performance and
2 you don't have a lot of volume, you're going to
3 see a lot of random variation.

4 And if you have a lot of variation,
5 random variation, what that means is that
6 randomness could affect your gains or losses
7 more than actually clinical performance.

8 And so some people who are doing
9 nothing could make money. Some people who are
10 doing really good work might not make any
11 money, because of random variation. So you
12 have to focus on bundles where you have big
13 volume.

14 The second issue is risk adjustment.
15 And risk adjustment is hard to do. I mean,
16 it's hard to do everywhere. But it's hard to
17 do in episodes.

18 And risk adjustment can be
19 reasonably good in procedural episodes. Acute
20 medical episodes, it's much less, the
21 predictive power is much less. And when you
22 get into the chronic condition episodes, it
23 becomes very hard to do.

24 Provider attribution is important,
25 the concept of team-based attribution that Joe

1 was talking about. I think that's really
2 important. But sometimes identifying the right
3 specialist.

4 Sometimes you have people who, you
5 know, they get specialty care in a hospital,
6 they haven't seen a specialist. They're
7 hospitalized for heart failure. They've never
8 seen a cardiologist. So how do you think about
9 that attribution?

10 And then finally when we talk about
11 longitudinal episodes, how do we define the
12 episode? Because in Medicare, people with heart
13 failure also have chronic kidney disease, and
14 hypertension, and diabetes.

15 And so what are we measuring? Is it
16 a capitated payment? Or are we somehow carving
17 out heart failure-related costs? And how do we
18 define those?

19 So these are all things that make
20 this enterprise a little bit more challenging.
21 If you go to the next slide. And I'm not going
22 to spend much time here. But I think the
23 opportunities for ACOs, one is to help the
24 primary care providers make better referrals.

25 Second is to get the specialists

1 engaged in value-based care management, and
2 sort of understanding what the ACO is trying to
3 do. And the third is effective collaboration.

4 And I think again Joe laid this out
5 very nicely. There are a number of tools for
6 improvement. You need data because, you know,
7 my friend Jared Kaplan used to say, make the
8 invisible visible. And a lot of this stuff is
9 invisible.

10 So you need to start with data. But
11 then in my mind it's really the culture. You
12 need to start with strategic alignment from
13 leadership. So the senior management and the
14 clinical leaders have to want to do this. And
15 they have to believe in it.

16 And then, you know, it come down to
17 the organizational culture. You need docs who
18 believe in it, and champions. You need systems
19 to make it easy to do the right thing.

20 And incentives can be important.
21 But the incentives are probably the last thing
22 that I would worry about. I sort of worry
23 first about the clinical enterprise, and the
24 culture, and the leadership to really make this
25 happen well. So go to the next slide.

1 So why is it complicated to do this
2 inside of ACOs? And there's really four things
3 that I'll talk about here. One is
4 fragmentation of care. Because I think there's
5 just a lot naturally.

6 The second is that most of the
7 specialty care received by ACO patients is not
8 provided by ACO specialists. They're outside
9 specialists.

10 The third is that many ACOs, you
11 know, they range in size from being tiny to
12 huge. Some of the huge ACOs are very
13 geographically disbursed. So in any market
14 they're really not that big.

15 And many ACOs have not a lot of
16 volume in episodes because they've got healthy
17 people and sick people, as opposed to hospitals
18 that are really treating exclusively sick
19 people.

20 So, and the last thing I'd raise is
21 that ACOs, many ACOs have a limited power base.
22 The people in the health system who are driving
23 the revenue are the specialty practices and the
24 hospitals.

25 And so, some health systems have

1 really embraced value-based care. But there
2 are plenty of them who maybe have sort of
3 embraced it a little bit.

4 And, you know, they have ACOs, but
5 the ACOs are not the power base. They don't
6 have the resources. And they can't make all of
7 this happen.

8 So in terms of fragmentation, you
9 know, what's an ACO? It's a lot of different
10 things. And so this is just breaking out in
11 deciles the average number of physician groups
12 per ACO.

13 And as you can see the average is
14 34. Sometimes they come in, many of them are on
15 different electronic medical records systems.
16 Many have different culture. And so kind of
17 organizing around specialty care is more
18 complicated in that sense. Go to the next
19 slide.

20 So here what we did is we looked at
21 the Medicare claims data. And we split ACOs
22 into four groups, what we call PCP-focused, at
23 least half of their providers were primary care
24 providers, primary care oriented, specialist
25 oriented, and specialist-focused.

1 And the specialist-focused probably
2 between two-thirds up to almost 80 percent of
3 the providers on the ACO list were specialists.
4 And looking at the percentage of primary care
5 provided by ACO practitioners, which is a
6 majority. And now looking at medical
7 specialist care and surgical specialist care.

8 And so this was our analysis. But
9 Michael Barnett and Mike McWilliams did a very
10 similar study they published in AJMC⁶⁸. And so
11 it's just a lot of the specialty care is
12 outside. And again, it can be very fragmented.

13 Go to the next slide. In this
14 slide, what we did is we looked at ACOs in
15 2021. And I think there's roughly 450, 460
16 ACOs. And we said, how many of those ACOs had
17 patients who would have triggered these
18 different BPCI Advanced episodes?

19 And so you have, you know, most ACOs
20 would trigger 100 pneumonia, 100 joint
21 replacements, 100 sepsis. Now we're down to
22 less than half, have 100 heart failure
23 episodes. Now we're down to 30 percent, 100
24 stroke. And the numbers just get smaller.

68 American Journal of Managed Care

1 So the volumes are relatively low.
2 Now if you said, let's take ACOs and let's look
3 at their hospitals, and how many bundles did
4 their hospitals participate in. The numbers
5 could be higher.

6 But for the ACO patients, the sample
7 sizes are often low. And if you go to the next
8 slide, what are the implications of this? And
9 here, this is kind of a complicated slide, but
10 I'll try to explain.

11 What we did is we looked at 90-day
12 Medicare episodes for heart failure, acute
13 heart failure hospitalizations. And we did
14 something called a bootstrap simulation.

15 So we essentially created this big
16 pool of episodes from a very large market. And
17 then we pulled out 1,000 random draws of 50.
18 And we said, what's the average cost of the 50?
19 And you can see the range here for the groups
20 of 50 was, the average cost was between 34,000
21 and 23,000.

22 Now as you go to 100, 150, 200, the
23 range gets more and more narrow. But there's
24 still a fair amount of random variation. And
25 so that's one of the challenges here is, if

1 you're looking at small numbers, are you
2 getting an accurate sense of what the costs
3 actually have been?

4 So if you go to the next slide.
5 We've done some surveying of ACOs. And we
6 asked them to report their various specialist
7 alignment. So, you know, the first one is lack
8 of data, and especially lack of quality
9 information.

10 To evaluate specialist costs, so-so.
11 But quality, they really felt like their bucket
12 was empty. I think that the ACOs were
13 concerned that fee-for-service is really
14 driving the specialist behavior.

15 That if they were small and lean
16 ACOs, they didn't have bandwidth to go out and
17 do all of the, lack of a better word, academic
18 detailing or engagement activities to start to
19 bring the specialists on board.

20 That some specialists were
21 interested in value-based care, but others
22 aren't. And finally, sort of an uncertainty
23 about the financial incentives.

24 And particularly, you know, if you
25 get a high-paying specialist, you want to get

1 him to pay attention, you have to pay a little
2 bit more in terms of bonuses than for a primary
3 care physician.

4 And I think there's some concern
5 that if we give, ACOs start to do this and
6 build in big specialist game sharing, they can
7 potentially dilute the share of savings that is
8 available for primary care. And, you know,
9 primary care is still the core of many
10 Accountable Care Organizations.

11 So I will wrap up here. If we go to
12 one more slide, my conclusions. I think if
13 possible, CMS should share more episode data
14 with ACOs. And ideally all Medicare data,
15 including Medicare Advantage. I know that's
16 probably not reasonable now. But it's a goal
17 to work towards.

18 The second thing is, I talked about
19 power dynamics. I think that we need to think
20 about incentives for specialists in hospitals
21 to engage with ACOs.

22 So don't just put the onus on ACOs.
23 You got to go out there and engage the
24 hospitals and specialists. What could we do to
25 make the specialists, and hospitals say, hey,

1 it would benefit us to work with ACOs?

2 The third is the mechanics of
3 nesting episode payment models I think haven't
4 been worked out and are probably going to be
5 challenging.

6 And then finally I do love this idea
7 of nesting a medical home approach with
8 incentives inside of an ACO, using something
9 like a GUIDE Model where you have ACOs who have
10 programs that are reviewed, that are, need some
11 additional support, providing some additional
12 support, and then kind of tracking what the
13 outcomes are.

14 So I will pause here and pass it
15 over to my esteemed colleague, Dr. Opelka.

16 DR. KOSINSKI: Thank you, Rob. Next
17 we're excited to have a previous PTAC submitter
18 of the ACS-Brandeis Advanced APM model, Dr.
19 Frank Opelka, who currently is Principal
20 Consultant at Episodes of Care Solutions.
21 Welcome back to PTAC, Frank.

22 DR. OPELKA: Larry, thank you so
23 much. It really is a pleasure to join this
24 panel. It's been amazing to listen to everybody
25 today. There's been a lot of these

1 presentations that have talked about the
2 current horizon and what's on the immediate
3 next horizon.

4 I want to push a little further down
5 toward perhaps the third horizon. But all of
6 these are moving together. And all of these
7 overlap. And I think there's a lot to share.
8 And I look forward to sharing that with you
9 now.

10 So next slide. These are my
11 disclosures. I continue to work with the
12 American College of Surgeons. And I'm working
13 with KPMG on Medicaid and episodes of care
14 within the state of Colorado. And I've got a
15 lot of grouper experience with the Payson
16 Center grouper, and those are the major
17 disclosures.

18 Next slide. So as I look at this,
19 and as a specialist throughout all of my
20 career, the best outcomes I ever had as a
21 specialist taking care of patients, are those
22 patients who had outstanding primary care.
23 That those patients came to me, and they were
24 in the best of shape, or had the best
25 opportunity.

1 And so when I look at this, I really
2 value primary care greatly. And how do we
3 actually take a different approach from the
4 approach that's been emerging over the last
5 several decades to get the best practices
6 within integrating primary care in specialty
7 team-based medicine. For the longest of time,
8 we've grown up in medical schools where all of
9 our education has been extremely siloed, very
10 transactional, and not built all of this
11 together. Yes, there's a lot of holistic
12 conversations that talk about it, but when you
13 get down into the field, and you're doing the
14 work, you don't quite walk the talk.

15 So I think there's a lot to think
16 about in how these organizations are
17 performing, what we can do. Everyone's already
18 mentioned data sharing and how that becomes an
19 important part of about all of this to getting
20 toward more effective care.

21 Next slide. It amazes me that we've
22 got, in many hospital settings, 300, 400
23 measures. And still, I get the same questions
24 all the time from my neighbors coming to me as
25 the surgeon on the block. I've got this

1 condition; I need a specialist. Or from a
2 primary care friend and colleague who says
3 somebody's going to need this specialist, and
4 they're going to need this care, where do I go
5 to get this operation?

6 And what does good look like? And
7 what is safe, effective, efficient care?
8 What's going to be my out-of-pocket cost?
9 There's an enormous amount of uncertainty in
10 the way we currently practice.

11 And it is really just our own
12 personal experience which may only be as good
13 as last week and may not really be reflective
14 of the kind of care that we're looking for
15 that's reproducible and has high fidelity.
16 Because we have gotten so used to a
17 transactional, very silo-fragmented approach.
18 And we don't know quite how to break out of it.

19 Next slide. So there's been a lot
20 of talk about transparency. And I really think
21 this is the key. We're now just starting to
22 say we should be transparent about the cost of
23 care. It should be a lot more than that. We
24 need to be transparent about clinical outcomes
25 and separately about the patient goal

1 attainment.

2 The clinical outcomes are those
3 outcomes I know as a physician. In cancer
4 care, I say that Stage 1 should do this, Stage
5 2, this, Stage 3, that. Or, in somebody who's
6 undergoing total joint replacement, I would
7 expect a certain outcome to occur.

8 But patients have goals too. And
9 their goals actually take into account a lot of
10 their social determinants. They know they're
11 living in a certain environment that may not be
12 the mirror image of the Mayo Clinic or the
13 Cleveland Clinic or MD Anderson. And yet they
14 said my goals fit my environment. And this is
15 all I'm looking for.

16 But we don't lay that out there. We
17 don't spend a lot of time determining what is
18 their specific goal. It is a momentary
19 conversation. And we don't really focus on it.

20 Now as a back-up to those first two,
21 I look at the IOM STEEEP⁶⁹. Is it safe, is it
22 timely, is it effective, is it efficient, is it
23 equitable, is it patient-centered? And if you
24 put those three together, you've got a very

69 Institute of Medicine Safe, Timely, Effective, Efficient,
Equitable, Patient-Centered

1 powerful expression in transparency.

2 The other part about all of this is
3 health care is -- patients are complex. Care
4 delivery and care pathways are complicated. A
5 care environment and resources in which we work
6 are --they're chaotic. So if we do not certify
7 and verify that entire care pathway, and the
8 care team, and how it all fits together, it
9 just is by happenstance that it just works out
10 in many instances.

11 We know from years of trauma data,
12 of cancer data, of having verified programs,
13 not just verified bricks and mortar, but
14 clinical programmatic care, that it makes a
15 huge difference in the outcome of care.

16 We've seen this effort in maternity
17 care recently as we have the maternity care
18 crisis. We're verifying maternity care, that it
19 meets certain standards. There's an effort of
20 bringing that team together.

21 And if you don't have that, you
22 really don't necessarily know that they are
23 clinically ready, that they can handle the
24 curve balls that come at them, that they can do
25 a rescue when the rescue's needed where they're

1 set up with the right transfers.

2 These are elements, these are the
3 core elements, to me, that then form the
4 linkage between helping a primary care ACO or
5 MA plan determine where to get care and who can
6 establish themselves and saying we think we're
7 good enough to perform in this environment.
8 And we think we're worthy of that referral.

9 Next slide. Value-based care also,
10 in a sense it's been highjacked by the payer
11 community, as if the payer knows the judgment.
12 Value is a judgment. What you value and what I
13 value may be different. What a patient values
14 in one environment may not be what they value
15 in another.

16 We look at this as value-based care
17 is the judgment that reaches that patient's
18 goal of care. The goal should be personalized,
19 represent the patient's wishes in their
20 environment, in what they expect the care
21 delivery system to give them with advice and
22 guidance from their PCP and specialties.

23 And we think this is actually the
24 crux of care coordination. When the PCP and
25 the specialist come together around the

1 patient's goal of care, this is the
2 communication we want to know where the
3 handoffs are and whose got what role to play in
4 optimizing care.

5 If it's separate from that, if we
6 push them apart from each other and just say we
7 sent each other notes back and forth, or we
8 shared a common platform with information,
9 we're still fragmenting care. We're not
10 spending enough time focusing on the patient's
11 goal jointly between the PCP and the
12 specialist. And that's really where it comes
13 together.

14 We spend an awful lot of time on
15 adverse event metrics which, if you look at
16 them on a broad scale, the country in general
17 is pretty safe in its health care. And it's
18 not distinctive looking at adverse event
19 metrics, even though they are rare events, to
20 actually determine one place is good for the
21 outcome of care or the patient goal attainments
22 for.

23 There is some variability there, but
24 it's not that great. It won't show you a
25 distinction in care, and volumes aren't high

1 enough to have confidence. So to achieve
2 value, PCP, specialists, and patients have to
3 openly share their goals. The true outcome of
4 care can be transparent about the level of goal
5 attainment. And you can throw costs in there
6 too.

7 Next slide. So when we look at this
8 within the College of Surgeons, we've got
9 decades of collecting risk-adjusted outcome
10 data and looking at all these adverse events.
11 And you can see from the scoring on the right,
12 I know it's a little tight and hard to read,
13 but everything in red, those are bad outcomes,
14 the yellow, not so great, and the green, pretty
15 decent.

16 And you can see they are rare
17 events. You're not really measuring did I
18 achieve the patient's goal? Now the patient
19 survived. There were no adverse events. But
20 they came to me for a joint replacement or they
21 came to me for treatment of an aortic valve.
22 And did I restore to them their life, their
23 quality of life that they were seeking even
24 though I didn't have any adverse events?

25 We don't measure that, and we don't

1 set it down with an agreement with the patient.
2 It's a verbal action that we share together,
3 but we don't hold each other accountable to
4 that. And that's actually where patients come
5 to us. They don't come to us to say I don't
6 want DVT⁷⁰. They come to us to say I want this
7 problem resolved, and I don't want DVT in the
8 process.

9 Next slide. So patient goals to
10 care and I'm over-emphasizing that, but it
11 considers really a lot of other factors. There
12 is severity of an illness, there are underlying
13 co-morbidities. Patients have a pretty good
14 sense of this. And of course, they want the
15 best out of the worst circumstances.

16 But when you go out, and you
17 interview across the state, or across an
18 environment, they understand where they are.
19 They understand where they live. They
20 understand the sources they have. But I look,
21 and I've done a lot of looking into total joint
22 replacement, and I look out into rural or
23 somewhat remote areas.

24 They can get their knee operation

70 Deep vein thrombosis

1 somewhere. But they have no physical therapy.
2 And if you have a joint replacement, the only
3 thing that really matters is getting through
4 that physical therapy and restoring your life.
5 So we're missing the key part of completing
6 their care. We're performing a portion of the
7 fragment, but we're not putting the whole thing
8 together.

9 And part of that gets to certifying
10 that this care team provides the entire system
11 of care, all the processes, it's tracking all
12 the points, looking for the failures. It's
13 programmatic approach to care. It's not
14 measuring a surgeon, measuring an
15 anesthesiologist, measuring cardiologist,
16 measuring another specialist. It's about
17 measuring a patient and saying did we put all
18 the moving parts together and achieve what that
19 which we sought to achieve and hold each other
20 in shared accountability for that?

21 Next slide. So when we look at
22 this, what we're hearing in the episode
23 environment, and we think it actually is, it
24 resonates with physicians in specialty
25 medicine, is that patients are looking for one

1 episode price.

2 Yes, the clinicians and everyone
3 else wants to singly bill for all of these
4 things, but put that aside, understanding one
5 episode price, all the clinical services that
6 are involved, the team, whether that team is
7 integrated or it's built upon a community of
8 available resources, by bringing them together
9 in a team and putting the patient goals, safe,
10 affordable, satisfactory care first.

11 So define the episode, set the team,
12 verify that the team meets the clinical domain,
13 and has the readiness to perform, be open and
14 transparent about the key performance
15 indicators which to me, in the safety profile,
16 goal attainments for its clinical outcomes,
17 ready access, timely access to care,
18 affordability, and understanding the patient
19 risk profile environment that you treat. Do
20 you have the ability to treat high-risk
21 patients or not?

22 Next slide. So just a moment,
23 sorry, sports analogy, I apologize. But this
24 helps make the point in a little bit of a
25 thought exercise. Imagine you're the owner of a

1 sports team, whatever it is, soccer, hockey,
2 baseball, volleyball, in my instance it would
3 be an NFL football team. I get the best
4 quarterback, I've got upper-tier running backs,
5 I've got Hall of Fame tight ends, I've got
6 great lineman. I put together all this raw
7 talent.

8 But until we sit down and come
9 together, and push ourselves, and hold
10 ourselves accountable, and measure our team
11 outputs, you've also got to have a coach,
12 you've got to set schemes against the
13 opposition. In our case, it's a disease, or a
14 condition, or it's the limitations of the
15 resources in an environment.

16 Putting that together in a system of
17 care doesn't fit within the fragmented
18 environment in which we practice. But it is
19 how most physicians in their practice prefer to
20 live. And yet they get measured in their
21 individual fragmented areas, and we wonder why
22 is there burnout? Because they're not putting
23 themselves together to say let's work for the
24 best benefit of our team and put this together.

25 So that's a missing portion. When

1 we verify and put a team together, and come
2 into a site and do a trauma verification, or a
3 cancer verification, or a bariatric
4 verification, there is a sense of pride from
5 the nursing staff to the house staff, to the
6 C-suite, to the clinical team in the OR⁷¹, that
7 they've all pulled together, and they know they
8 have a role to play, and they hold each other
9 responsible for that.

10 Next slide. The other thing, and
11 this is just a brief mention of this, and we
12 can go on forever on this now today, excuse me,
13 the concept of AI, of knowledge management, of
14 shared knowledge management in digital
15 platforms is a current reality. We're still
16 living in the EHR world, we're barely
17 leveraging the HIE⁷² world the way we should.

18 What really needs to come together
19 are digital platforms that have shared
20 knowledge about a program of care. Whether
21 it's chronic care management for a PCP, or it
22 is specialty care management of an acute
23 problem, we now have the capability of
24 generating shared knowledge for all of us,

71 Operating room

72 Health Information Exchange

1 patient facing, payer facing, PCP facing,
2 specialty facing, so that we create the right
3 shared knowledge environment.

4 And we're not investing near enough
5 in this. We're seeing this come from outside
6 of health care, people coming in saying, gosh,
7 we do this in every other discipline on Earth.
8 Why aren't we doing this, for God's sake, in
9 health care where this affects every one of our
10 lives?

11 We're starting to see it happen.
12 But to take the engineers, and the clinical
13 teams, and the patients, and put them together
14 into a knowledge environment means we all have
15 to take time. We have to invest in that. And
16 we have to build it.

17 And that is the exciting future, but
18 that is the third horizon. We're not quite
19 there yet. We're relying on folks like Epic,
20 and Oracle, and Apple, and Microsoft, and AWS⁷³
21 to do this. But they need the clinical
22 engineer marriage, the patient inputs, to
23 determine how we'll pull all this together.

24 Next slide. So I took some of this,

73 Amazon Web Services

1 and I read it through my own AI engine, and I
2 said, well, what if I got rid of hospital
3 compare and physician compare, and I did
4 episode compare. So I pulled up cholecystectomy
5 in an artificial environment and put in -- you
6 can draw on a zip code and set the milage
7 distance you wanted a patient to have to
8 travel. And that could come from the patient
9 themselves.

10 And up pops, in this instance, two
11 hospitals, the Central State Hospital and the
12 Regional Medical Center, all of which are just
13 synthetic, artificial. But it gives you what
14 is their price point for a cholecystectomy. It
15 gives you their safety profile, their infection
16 rate, and the readmission rate. It tells you
17 about their high-risk patient profile, and
18 their overall patient rating. But that's not
19 enough. We want more than that.

20 Next slide. So we drilled down a
21 little deeper. Now I shifted from
22 cholecystectomy to total knee, just to give
23 another example. But we still get a picture of
24 a hospital. And this one is attained a level
25 of verification that calls it a center of

1 distinction. It's a high-level, high-verified
2 place. It outlines the common care pathway.

3 So, a PCP can see this, the ACO can
4 see this: these are the expected events that
5 are going to occur in this particular knee
6 replacement environment. And it is the common
7 event. So now we can also look at volume. We
8 can look at, in using risk-adjusted modeling,
9 expected costs to observed cost, and get a
10 sense of 20 percent of this particular practice
11 deals with high-risk patients. And you can
12 set that bar to deal with particular patients
13 that might not just be high-risk; it could be
14 dependent on social determinants. So there are
15 other ways to look at this and create an
16 environment in which the PCP, the ACO, the MA
17 plan, everyone can look at this and see, this
18 is who I am as a delivery system for this
19 episode of care.

20 Telling me who I am as a hospital is
21 just -- it's too blunt. It doesn't give you
22 enough. It tends to reflect the culture, it
23 tends to give you a sense of this, but the
24 programmatic approach is what, as a patient, I
25 am looking for. And then, as a

1 physician, I can go out there and turn to the
2 PCPs: I've received a certain status, and here
3 are my data that show this, and this can be
4 live and tracked and updated on a regular
5 basis. We don't provide this level of
6 information to our teams now, and yet it's not
7 that far away. And we should.

8 Next slide. So, if I delved in one
9 more little bit further, I could look at the
10 verification. And the minimum standard is
11 Joint Commission. But here there's
12 verification for advanced hip and knee
13 replacement. A higher standard that is
14 existing in this environment.

15 The quality metrics give you the
16 standard safety profile. The complication
17 rates for 30 days, infection rates,
18 readmissions, one-year joint revision rates,
19 those are the kind of things that give you a
20 sense, but then that real important area of
21 patient outcomes, where the patient goal
22 attainment, was it met, exceeded, or not. The
23 patient overall experience. And then the
24 clinical outcome, which, in this instance, is a
25 score that we can actually achieve. Was there

1 an initial score and was there a 100 percent
2 improvement in that score? And in this case,
3 there was.

4 So you can see that we can actually
5 create a very simple dashboard or report card
6 that gets to episode compare rather than
7 individual physician compare or individual
8 hospital compare. It's giving the patient the
9 kind of useful information they need in making
10 a decision. And really the reliance on this is
11 the PCP, because most patients can't translate
12 this. But PCPs, this is native to who and how
13 they operate. And they would have no trouble
14 helping their patients read this.

15 Next slide. So, I thank you for the
16 opportunity to participate. I hope I gave you
17 a little bit of a glance at the third horizon
18 that's out there, and I look forward to the
19 conversation. Thank you very much.

20 *** Committee Discussion**

21 DR. KOSINSKI: Well, we thank all
22 four of you for those great, robust
23 presentations. But it's now time to move into
24 some questions. We have 10 minutes. So I want
25 to make sure that the Committee has a chance to

1 put up their name plates and get some questions
2 in from the Committee. Krishna?

3 MR. RAMACHANDRAN: Thanks, all.
4 Great job presenting. You all spoke about data
5 sharing in some form or the other there. I've
6 spent part of the last 10-plus years trying to
7 work through data sharing from the Epic side,
8 and, of course, providers and the payer side as
9 well. I know it's a hard, gnarly problem.

10 I guess I'm curious to see if you
11 all had just, like, best practices, lessons
12 learned from your time in the field. Like,
13 what are ways we can sort of integrate better
14 data sharing between specialists and primary
15 care, particularly in less integrated settings?

16 DR. KIMURA: Well, I can start,
17 Krishna. You know, I think in the prior panel,
18 we highlighted the challenge, right, in terms
19 of we don't have unified structures in place,
20 right? So, in my chart I've got things set up
21 in a particular way, I can send the feed over
22 to your chart, but it's not all the same field,
23 it's not going to map the same way, and that
24 leads to confusion, and, unfortunately,
25 probably leads to more medical errors or

1 re-work at that point in time.

2 But I think the tools are getting
3 better, right? That starts to clean that up.
4 And the more sophisticated organizations are
5 obviously able to create those filters in that
6 transformation logic as that information is
7 coming in.

8 At least we've seen, for smaller
9 practices -- and, you know, on average,
10 nephrology practices out there are not big,
11 right, so there's three to seven physicians.
12 And so the capability to be able to provide
13 that middle layer, right, to be able to
14 transform and homogenize, I think starts to
15 become really, really, really important.

16 Because just sending the information, even
17 if it's as stale as a PDF, or subjected out
18 into, you know, very structured data fields, we
19 are finding that, again, it's those smaller
20 practices are unable to ingest them, and
21 definitely not ingesting them into the workflow
22 in the right spot to engender the types of
23 behavior changes that we would like for our
24 folks to happen. Even though, as you sit every
25 quarter and collectively look at reports and

1 dashboards, everyone's nodding their head and
2 saying, yeah, wow, we should be doing something
3 about that.

4 In my area in particular, right, we
5 always think about crash dialysis starts,
6 right, things that shouldn't be happening and
7 yet happens at a very regular clip. And so I
8 do believe -- hoping that our technical
9 vendors, you know, evolve standards to be able
10 to promote greater interoperability, I think
11 it's sort of waiting for Godot a little bit at
12 that point in time.

13 I do feel like it's incumbent upon
14 folks that are trying to bridge the specialty
15 primary care gaps for smaller practices,
16 someone needs to make the investment that the
17 standards are not there, and has to start to
18 create those kinds of homogenized reports that
19 are clinically meaningful and relevant. And
20 I'd see vendors jumping in doing that left and
21 right, because the overall industry is not
22 quite there yet.

23 DR. KOSINSKI: Any others want to
24 comment on that? We have other questions.

25 Henish?

1 DR. BHANSALI: So, I've heard quite
2 a few different options on how we can integrate
3 primary and specialty care. If it's a fully
4 integrated system, then it's a closed system.
5 For those that are not fully integrated, having
6 robust primary care, and then using specialists
7 only when really needed, right, so that last
8 mile, and then conveners or partners like
9 Somatus coming in and helping folks out.

10 The other model that I've seen come
11 into play recently is proactive e-consults.
12 So, instead of relying on the PCPs to send
13 patients out to specialists, the specialists
14 are actively -- the risk-bearing entity is
15 figuring out which patients need specialty
16 care. But then the specialists are actively
17 going into their PCPs' EMR systems and managing
18 the patients concurrently, so that there isn't
19 that, you know, delay, et cetera, of care. And
20 the care is integrated within that PCP's EMR.

21 I would love to get whoever would
22 want to answer that question or comments on
23 that model and where that fits into this, the
24 fourth bucket of different ways of delivering
25 specialty care.

1 DR. KOSINSKI: Why don't I push
2 this to Rob first?

3 MR. MECHANIC: Yeah. So I love the
4 idea of e-consults. I think it's a really
5 sensible way to move forward. There are some
6 companies that do this. There are also some
7 health systems that have essentially set up
8 internal processes where their PCPs can do
9 e-consults or -- I hadn't heard, actually,
10 Henish, what you had talked about, about the
11 specialist kind of walking through the medical
12 records and looking for opportunities. It was
13 more that they were creating a resource for
14 primary care to go and ask questions when
15 specialty-related questions come up.

16 I think the real issue is being able
17 to have it happen in a very timely way,
18 preferably either when the patient's in the
19 office, or you could do asynchronous stuff as
20 well. But I think it's a good idea.

21 The question is, then, you know, how
22 is it paid for? I think it has to be something
23 that the organization feels like they're
24 getting value from it. I know that there are
25 some new, for example, in Making Care Primary,

1 some new e-consult fees. But it's like \$50 for
2 a specialist, right? And, you know, I just was
3 at the dermatologist. I was there for 10
4 minutes, and \$500 bill. So, I have to sort of
5 figure out how it's financed or whether it's
6 internally financed. But I think it's a great
7 idea.

8 DR. KOSINSKI: Other comments?

9 MS. MITCHELL: I'd like to just add
10 one thing. I agree with everything that was
11 said. We ran a sort of Center of Excellence
12 program for about eight years on behalf of our
13 members. And people totally agree on the
14 e-consults. But one of the things that we
15 really identified that was critical to success,
16 to your point, was the appropriateness of the
17 procedure in the first place.

18 And most of the time -- well, a
19 shockingly large percentage of the time it
20 wasn't even needed. So, having the referral or
21 really not be attached to any incentive to do
22 the procedure was very important, whether
23 that's a third party, whether it's primary
24 care, but just really separating that incentive
25 from the consult was very important.

1 DR. KOSINSKI: Frank, any comment?

2 DR. OPELKA: Yeah, I mean, what I'm
3 seeing is a little bit different. And it gets
4 down to pulling in the data and doing
5 predictive analytics on a population, and
6 helping the PCP understand, here's your
7 population, here's where they stand and what's
8 going on with them, and having the specialist,
9 at the same time, be able to assist and look.
10 And they may be able to say, my gosh, we've
11 fallen behind in mammography screening or we're
12 behind in colonoscopy screening. Then the
13 radiologists and the endoscopists have to step
14 up and help and put incentives in play in that
15 system, that that PCP isn't the one -- the only
16 one looking at the dashboard.

17 Those flags go out to everybody
18 involved. This is a health issue. We owe it
19 to our population to get this done. Now let's
20 step up and get it done. And then we also have
21 that ability to look at a population and
22 generate the predictive analytics, too, and
23 say, gosh, if all these people have this much
24 care, this is how much we're going to save, or
25 this is how much better we're going to improve

1 quality of life.

2 Those predictive analytics through
3 AI engines are going to be here within --
4 probably within the next year and a half.
5 They're already in play; we just haven't put
6 them in front of the PCP and the specialists.
7 But it's coming, and it's coming fast.

8 DR. KOSINSKI: Joe?

9 DR. KIMURA: Yeah, the one thing I'd
10 add, Henish, is I think if you have a loosely
11 integrated system, and you have a PCP that's
12 potentially capitated, thinking about that, and
13 the specialist that's on fee-for-service, that
14 relationship, I think, can also be challenging
15 when, if you have that transparency through,
16 and you're allowing the specialist to jump in
17 -- particularly because not all PCPs have the
18 same range of things.

19 If it's something only a specialist
20 can do, a little less friction. They begin to
21 overlap, then you start to say, like, hm,
22 there's some conflict there on who's actually
23 providing that care.

24 So the proactivity, I love. As a
25 PCP, want to encourage it, but then you've got

1 to get that information back. If they're
2 proactively doing it, I want to get that
3 information back. As the PCP, I don't want to
4 wait a month or two for whatever you found
5 along those lines. So there's a lot of other
6 pieces together that needs to complement that.

7 DR. KOSINSKI: I think Luran has a
8 question, or Jim. Jim?

9 DR. WALTON: Hi, thank you for your
10 comments. I had a question for Elizabeth, and
11 then maybe if anybody else wanted to comment.
12 I was struck by your comment around 13 percent
13 savings in total cost of care, if I heard it
14 correctly, for every dollar spent in primary
15 care. And I was curious how your members
16 thought through the idea of what inflation rate
17 target were they really interested in achieving
18 in these new initiatives. And are they
19 discussing guardrails to protect from too
20 little care versus too much care? Is there a
21 guardrail discussion? So, it's an
22 inflation rate target. Is that part of the
23 conversation with your members? And what is
24 that? What's it tied to, what's it pegged to?
25 And then guardrails.

1 MS. MITCHELL: That's a big
2 question, and my answer may sound
3 controversial, but it's not intended to. Most
4 of our members are looking for flat trend,
5 right. They are spending billions and billions
6 of dollars and believe that while they are
7 happy to spend, you know, a large amount for
8 high-quality care, there are savings possible.

9 So that said, you know, many of them
10 are managing to, like, 1 to 3 percent total
11 cost increases year on year. And I will say,
12 going back to the Office of Healthcare
13 affordability in California, that we have set a
14 3 percent target. But that is across the
15 system.

16 And, you know, the idea is that
17 maybe that comes from PBMs⁷⁴, pharmacy, health
18 plans, and hospital care. But that is
19 reinvested in primary and mental health care,
20 just as an example. So, I don't know how to
21 exactly translate that to an inflation rate,
22 but I would say that they are looking for, you
23 know, flat to very low trend. And of course,
24 that is in contrast to the double digit

74 Pharmacy benefits managers

1 increases they're getting now. So there's a
2 long way to go. Does that answer your
3 question?

4 DR. WALTON: Yes, you answered it.
5 And then just the other protection on downside,
6 you know, the protection of too little care for
7 your, the members.

8 MS. MITCHELL: Certainly, so that
9 was sort of really going back to the measure
10 set that we talked about. Again, it's not the
11 end-all, be-all measure set, but it's about
12 outcomes.

13 We have an access metric, actually
14 one of the biggest barriers we're seeing now
15 is, like, not even being able to get an
16 appointment for primary care, or maternity
17 care, or mental health care. So there are
18 major access barriers that aren't even being
19 measured. And so they are asking that those be
20 measured. Those are part of the performance
21 guarantees.

22 And I know access isn't the singular
23 metric here. But outcomes, experience, clinic
24 -- they're absolutely looking at clinical
25 outcomes. So again, it's not sort of a

1 restriction on care. You know, on the contrary,
2 it is trying to get more people into better
3 care sooner. And they are measuring that.

4 DR. KOSINSKI: Krishna?

5 MR. RAMACHANDRAN: This one is for
6 Elizabeth. Elizabeth, this is about
7 multi-payer alignment, obviously a topic close
8 to your heart and my heart, given our work
9 together in California. I was wondering if you
10 have thoughts on just, like, how do we expose
11 this to other parts of the country?

12 Like, what do you -- do you have any
13 words of wisdom or lessons learned, or best
14 practices you've seen? Obviously, it just
15 kicked off, but it took, like, multiple years
16 to even get to the kickoff point in California,
17 but any thoughts you'd want to share for us?

18 MS. MITCHELL: Krishna, I think you
19 should be the national evangelist for this.
20 Ha, ha, ha.

21 I think it takes a lot of
22 convincing. Because I think currently, and
23 again, I'm just speaking from my own
24 experience, a lot of plans see this as sort of
25 a competitive disadvantage, whereas we think

1 they should compete on other things.

2 Because, you know, a slightly
3 different measure set isn't really bringing a
4 ton of value anywhere. And it really took a
5 long time, as you said, two or three years, to
6 get to alignment here, in part because it just,
7 it goes against, sort of, current business, how
8 teams are structured.

9 And, you know, frankly, the muscle
10 memory of fee-for-service is very strong and
11 really convincing folks that this can work took
12 a lot of time.

13 There isn't a well-funded
14 infrastructure at the regional level, or
15 community level, or anywhere really, to enable
16 this consensus collaborative work. It is, you
17 know, I know CMMI has tried this, but it sort
18 missed the mark of existing relationships on
19 the ground.

20 I think California is uniquely
21 advantaged, because we have groups like the
22 California Quality Collaborative, IHA, other
23 sort of tables where this can happen. But I
24 think it just takes a lot of, you know, old
25 fashioned time and relationship building to see

1 the mutual benefit of this. But I would love
2 to export it, and I'm happy to partner on doing
3 that.

4 MR. RAMACHANDRAN: Thank you.

5 DR. KOSINSKI: Lauran?

6 MS. HARDIN: Just building on that,
7 I loved your presentations. I'm doing a lot of
8 work in California, and see that native
9 organizing that's happening on the ground of
10 all of these new roles with community health
11 workers and others, and new payment sources but
12 also work nationally.

13 And one of the things that I've seen
14 as a trend that's been interesting is payers
15 are starting to fund that integrator role. So
16 it's different than an MSO⁷⁵. They're funding
17 integrator roles to bring the community
18 together for all payers, look at collaboration
19 to meet the needs of complex populations, and
20 then also work on the gaps in the system of
21 care in the community to actually work on
22 building out resources that are not there.

23 So I'm curious if you are seeing
24 that as well in the work that you're doing and

75 Management Services Organization

1 where you think that funding may come from in
2 the future if it's not from CMS.

3 MS. MITCHELL: Is that directed to
4 me?

5 MS. HARDIN: I think any of you,
6 it's an interesting trend.

7 MS. MITCHELL: I'm happy to start,
8 certainly don't need the last word here. I
9 used to run a national organization of regional
10 health improvement collaboratives. And there
11 were various tables, and various states and
12 regions funded somewhat differently.

13 I do think there is a role for
14 payers to contribute to this and say, okay,
15 maybe it doesn't have to be my model. Maybe we
16 can contribute to a community model. And there
17 have been, you know, real success stories
18 around the country of that working, not just in
19 California. But there is not an obvious funding
20 infrastructure for that which, I think, is a
21 big challenge nationally.

22 And I think, you know, I had lobbied
23 CMMI many times to sort of contribute to that.
24 But I think we still need to keep looking for
25 the right funding source. Because right now it

1 is not consistent.

2 DR. KOSINSKI: Any others with
3 comments? I think we have one last question.
4 Walter?

5 DR. LIN: I have a quick question
6 for Dr. Opelka. It's something you brought up
7 that I hadn't really heard before which is
8 integrating a patient's goals of care into the
9 measurement of value-based care.

10 So, you know, just for background, I
11 work in a nursing home, and so do other
12 providers in my group. And we are very focused
13 on value-based care but feel like a lot of the
14 quality metrics, like mammography, for example,
15 are not applicable at all to our patient
16 population, and yet we're asked to subject our
17 patients to them because of the universal
18 measure set.

19 So, I guess, is there a way to
20 integrate patient goals of care into
21 value-based care measurements of quality in a
22 standardized way that's not gameable, but on
23 the other hand really does take into account
24 patient goals of care?

25 DR. OPELKA: Thanks very much, and I

1 share the same challenges and concerns you talk
2 about. I recently went through an operative
3 procedure. In pre-op, I had six different
4 visits. And on six different visits, six
5 different doctors asked me if I'd fallen in the
6 last year.

7 So I'm going to get a T-shirt that says I
8 have not fallen in the last year.

9 (Laughter.)

10 DR. OPELKA: But that's how crazy
11 the measurement system is. It's kind of nuts.
12 And nobody asked me what my goal was until I
13 asked them, does anybody care what my goal is?
14 And it was a knee replacement. And I told them
15 I really don't want to fatigue on the back nine
16 anymore. I want the pain to go away, and
17 that's my goal. So I'm measuring them based on
18 that goal.

19 The NCQA⁷⁶ has just put out a goal of
20 care measure. It's a primary care measure.
21 But it should be changed to also consider
22 specialty care. Because all of us have a goal
23 of care with our patients, and it's relatively
24 simple. It's not one of these 50-question

76 National Committee for Quality Assurance

1 surveys. It's a very brief survey.

2 I think that this survey, and we're
3 looking at this in surgical care, I think there
4 should be a pre-op survey. You know, what are
5 your goals, let's lay it out on the table right
6 now. And then there should be a post-op survey.

7 And for some of these conditions,
8 there may be a need to update that survey at
9 six months and one year. Because the ongoing
10 events related to the care you received are
11 still evolving, and particularly in things like
12 maternity care where, my gosh, this is 18-month
13 event at least, if not 21 years or more.

14 So there's a lot of this that is important
15 to all of us in the way we practice, and yet
16 we've never put it into our measurement
17 structure. And it's probably much more
18 eventful and informative than the way we're
19 currently measuring. So I would make a big
20 investment in trying to do this, because we
21 think it'll actually -- it will deal more with
22 burnout than anything.

23 Measuring the right thing, measuring
24 our ability to achieve the right thing is
25 really what the nurses, housekeeping, the

1 surgeons, anesthesia, everyone got in the
2 business to do, not just to measure the safety
3 profile in adverse events. I'm not saying get
4 rid of those. I'm saying let's get something
5 that's a little more pragmatic for the purpose
6 that we serve the patients and the community.

7 DR. KOSINSKI: That's the perfect
8 note to end on. On behalf of the Committee and
9 our audience, I'd like to thank each of our
10 panelists and presenters for their insight and
11 excellent contributions today. We so
12 appreciate your time and expertise.

13 At this time, we're going to
14 probably take a very shortened break, maybe a
15 couple of minutes, and then the Committee will
16 reflect on the day and discuss some potential
17 comments and recommendations for the report to
18 the Secretary. Thank you. We're on a short
19 break.

20 (Whereupon, the above-entitled
21 matter went off the record a 4:31 p.m. and
22 resumed at 4:40 p.m.)

23 DR. LIN: Well, welcome back. I'm
24 Walter Lin, one of the PTAC Committee members.
25 As you may know, PTAC will issue a report to

1 the Secretary of HHS that will describe our key
2 findings from this public meeting on reducing
3 barriers to participation in population-based
4 total cost of care models and supporting
5 primary and specialty care transformation.

6 We now have some time for the
7 Committee to reflect on what we have learned
8 from our sessions today. We will hear from
9 more experts tomorrow, but we want to take the
10 time to gather our thoughts before adjourning
11 for the day.

12 Committee members, I'm going to ask
13 you to find the potential topics for
14 deliberation document. It is tucked in the
15 left-front pocket of your binder. To indicate
16 that you have a comment to make, please flip
17 your name tent or raise your hand in Zoom.
18 Josh? Would anyone like to start?

19 (Pause.)

20 DR. LIN: Lauran, thank you.

21 MS. HARDIN: Since I'm next to you,
22 I'll start, I'll go.

23 So there were a lot of really
24 interesting themes today. I'm just going to
25 call out a few and see if others want to

1 comment on that. One of the ones I thought
2 was really interesting from a rural perspective
3 for success, and population-based total cost of
4 care models, is the importance of a convener, a
5 networks approach, community hospitals serving
6 as sort of a community center, and the need to
7 really share resources across sectors to build
8 integrated teams and have a lot of creativity
9 around how care is delivered that really shifts
10 when we move to all payer models.

11 I thought the comment Chris made
12 about just do it, it's time to move, you know,
13 we've been moving things around the edges, but
14 in order to get to success, especially in
15 rural, we need to have that alignment.

16 And then also the theme around
17 integration of AI for broader predictive work,
18 the need to have proactive anticipatory disease
19 and symptom management, as well as starting to
20 identify needs, pathways, and road maps for a
21 rising risk populations for success, we really
22 need to be thinking about AI, as well as
23 thinking about the tsunami of older adults, and
24 the declining workforce, that we need to get
25 very efficient and be thinking about

1 integration of AI in all of that. And then
2 also I just loved the last presentation. I
3 think it's very interesting. If we can't do
4 this proactively in our existing structures,
5 business is going to step forward to make it
6 happen, so a lot of things to consider.

7 DR. LIN: Thank you, Lauran.

8 Lindsay?

9 DR. BOTSFORD: Yes, thanks, Walter.
10 Yes, I mean, I think we saw some similar themes
11 here today from previous meetings. But maybe
12 with some nuance that I think is worth
13 capturing, I think hearing that multi-payer
14 alignment is critical for success was not a new
15 theme.

16 But hearing some of the specifics
17 around, you know, what is that critical mass of
18 patients, and somewhere between 40 and 60
19 percent of your patients being in these at-risk
20 arrangements is needed to be able to start to
21 make it more profitable to be in these
22 arrangements and move the needle.

23 I think similarly, on the
24 multi-payer framework, the idea of how could
25 ECQMS that are multi-payer help to streamline

1 some of the administrative burden of
2 participation in value-based arrangements was
3 an interesting fact today.

4 I think we heard challenges that
5 we've heard before. That technical assistance
6 needed to participate in models remains high.
7 And then the burden of first year patients is
8 also high. And how can we overcome that?

9 I think we also heard about the
10 burden, the ratcheting effect. I think that is
11 also not a new burden that's been pointed out.
12 But how can we find new ways to adjust for
13 that?

14 I think I'll maybe highlight just a
15 couple, maybe more specific tactical
16 suggestions as we think about payments. Both
17 longer implementation timeline as we think
18 about payment demonstration projects in the
19 future, as well as reducing the time between
20 performance and payment can be helpful.

21 And then I think the specific
22 tactical one I'll call out from Jessica on our
23 first panel is that attribution could be
24 improved by thinking of it at the level of the
25 TIN and NPI instead of just the level of the

1 TIN to avoid attribution by specialty care
2 alone. So some real, concrete things that we
3 could do in improving things outside of the
4 blow it all up and head there faster as Dr.
5 Kerwin.

6 DR. LIN: Thank you, Lindsay. I
7 think Krishna was next.

8 MR. RAMACHANDRAN: Yes, thank you.
9 Yes, to Lindsay's point too, I think there have
10 been some themes from past discussions and past
11 conversations, certainly the multi-payer
12 alignment was key. And we have time coming up
13 in September for -- that should be fantastic.
14 How do we sort of make that real nationwide?
15 Particularly the comments on keeping it simple,
16 keeping the measures simple, so I think that
17 simplicity, noting the barrier to entry and
18 aligning, I think, seems to be a general theme
19 there.

20 Sort of the dollars for
21 infrastructure, data, pop-health, clearly seem
22 to be consistent, technical assistance of
23 course. And then, I think, Walter, you brought
24 up too the last mile, how do you make sure
25 there are incentives get to the doctors in a

1 way that keeps providers engaged in the
2 process, given the reconciliation is so long
3 and delayed as well? I thought those were some
4 interesting sort of insights there as well.

5 DR. LIN: Thank you, Krishna.

6 Henish?

7 DR. BHANSALI: So I think Lindsay
8 summarized some of the key points that I was
9 thinking, literally the points that I stated
10 which, I think, were some of the specifics
11 around getting the lead time for the model to
12 really prepare for being able to participate in
13 it, et cetera.

14 When it comes so specialty care, I
15 think that what I heard is that more robust
16 investment in primary care, and especially as
17 we think about the ROI for primary care and
18 having that be a part of, sort of, the integral
19 part of care delivery and then aligning some of
20 the outcomes that we have, not to negative
21 outcomes, right.

22 So someone goes to get a knee
23 replacement or hip replacement. They're not
24 walking out with a with a DVT but actually
25 outcomes that are aligned with what the

1 positive outcomes are expected to be, such as
2 mobility, or functionality, et cetera.

3 There's an organization called the
4 International Consortium of Health Outcomes
5 Management that specifically states, what are
6 the positive outcomes that you would want from
7 a lot of these interventions? And that's at
8 least what I heard the clinician document,
9 comment on.

10 And so as we're thinking about
11 quality metrics, measurable quality metrics, to
12 think of them not as the avoidance of negative
13 things, but really as a promotion of positive
14 things that we hope for our patients.

15 And then the last piece I heard
16 about was advanced care planning. And so
17 advanced care planning, being a part of any
18 value-based current model, just fundamentally
19 changes how that model is practiced. And this
20 has been demonstrated multiple times. And I
21 think it was shared a couple of times. Having
22 that be a core quality metric can also be a
23 consideration.

24 DR. LIN: Thank you, Henish.

25 Lee?

1 CO-CHAIR MILLS: Very similar, some
2 of these have already been said. But I was
3 really struck by the richness and the
4 intentionality our first panel discussion first
5 thing in the morning brought to this. They had
6 some very concrete, very specific
7 recommendations which is what we asked them to
8 give us.

9 And some of those have already been
10 mentioned on just the criticality of the new
11 cash flow in the first few months, the timeline
12 being too long of 12 to 18 months, and how you
13 try to shorten that. But then the risk-taking
14 organization might help get you over that, you
15 know, one-quarter hump where you can start
16 operating.

17 Attribution is mentioned. I thought
18 the concept was interesting that the complexity
19 -- we talked a lot about complexity over the
20 last three or four years. But just the
21 complexity is so overwhelming, and the inertia
22 is so entrenched that you financially have to
23 be far beyond the tip point to actually tip.

24 You know, we had examples of, you
25 know, very favorable arrangements offered to

1 physicians to move out of fee-for-service. And
2 they're just so fearful of change and so
3 entrenched with the inertia and systems that
4 you have to be not, you know -- 55, 44 is
5 enough to get you tipped. You've got to be
6 maybe 75 percent before you think about even
7 considering changing how you practice, which
8 was powerful to hear.

9 I thought the point that rural
10 providers that are, you know, traditionally
11 pretty low-volume, bring a whole new set of
12 standards, and the power of low numbers and
13 risk goes up in that setting. I thought that
14 was powerful.

15 Several people said different ways
16 that, you know, MSSP is, you know, clearly kind
17 of a winning model in the Medicare space but
18 that it lacks one key thing, which was
19 essentially the ability to do UM⁷⁷, to work on
20 demand utilization control upstream or
21 utilization control in the organization as a
22 way to weed out waste and unnecessary costs. I
23 thought that was interesting. I hadn't heard
24 that before.

77 Utilization management

1 I thought it was interesting to hear
2 that, again, back to the risk concepts that
3 people think actually that how the downside
4 risk is mitigated, and control is actually more
5 important that how much gain is possible. That
6 was a more important concept.

7 Again, really struck by several
8 speakers speaking to that a margin of 40 or 50
9 percent more is needed before you consider it.
10 And that it takes 40 to 50 percent of your
11 practices into our panel in a multi-payer
12 alignment to think about tipping over and
13 changing the operations. I'll point out, I
14 think that Clif's materials from NAACOS, he's
15 done some really nice thinking about
16 benchmarking issues we've talked about, and
17 challenges with some offered solutions that I
18 appreciated, that about risk adjustment and
19 trend adjustment that are worth consideration.

20 And then the quote of the day from
21 Dr. Sinopoli that really, in your physician
22 leadership, moving to value-based care, it
23 takes a mad man. So may we all be the mad men
24 in our arenas.

25 DR. LIN: Thank you, Lee.

1 I think Jim was next.

2 DR. WALTON: Yes, just to try to
3 fill in some gaps, you probably would expect me
4 to say something about the rural infrastructure
5 problems in America, so I will.

6 (Laughter.)

7 DR. WALTON: So I don't want to
8 really disappoint anybody. You know, the big
9 thing that I took away was it's really, really
10 hard to innovate when your ship's got holes in
11 it.

12 And we heard pretty loud and clear,
13 we continue to hear this over and over again
14 from experts in the field that, you know, there
15 is a pretty large issue out in the United
16 States that we, as we talk about value-based
17 care and expansion of it, and increasing
18 participation, and creating pathways for
19 increasing participation, so that the benefits
20 of value-based care would accrue to people that
21 are really vulnerable.

22 So if you think about all of that,
23 you know, we say well, let's go for the target.
24 And I think we heard that from Chris, you know,
25 to target this.

1 And we had an observation of, you
2 know, we had a lot of people here that were
3 really highly engaged in doing this work and
4 very excited about it. And we heard from
5 Elizabeth in California that the purchasers, if
6 you will, want to move this way as well.

7 So there's kind of this momentum
8 accruing in large environments, but there's a
9 group of people that are probably likely to be
10 left behind. And so I think that, given what
11 we said about market forces and organizational
12 structures, and business models that could
13 affect participation decisions, we see Critical
14 Access Hospitals and rural providers being at
15 somewhat of a disadvantage.

16 And so I would submit that, like we
17 had talked about having a pathway for
18 value-based care aggregators, we actually might
19 want to think about advising a particular
20 pathway for the rural communities where the
21 infrastructure is - health care infrastructure
22 is kind of falling down, not because of
23 value-based care, but in spite of it. I also
24 thought -- there was one other thing that I
25 wanted to lift up was the idea of a measure

1 being the patients' goal attainment and being
2 kind of a lining, if you will, performance
3 measures across multiple payers in that
4 particular vein, I thought was really, really
5 strong and something I took away from today.

6 And then finally, I guess there's
7 this thing with Medicare Advantage that seems
8 to have some kind of advantage. And there's a
9 little bit of a wringing of the hands to some
10 extent around the fact that there's more
11 business moving away from fee-for-service and
12 into Medicare Advantage. And we see that fee-
13 for-service value-based care is saving money
14 and producing increased quality in the MSSP
15 model.

16 So we're thinking well, could it be
17 cannibalizing value? Well, maybe there are
18 some policy opportunities to recommend to kind
19 of stop some of the that disadvantage that --
20 or the advantage for Medicare Advantage with
21 regard to risk scoring, for example, and some
22 of the ratcheting effects that are adversely
23 affecting the fee-for-service of value-based
24 care. So I'll leave it at that. And thank you
25 very much.

1 DR. LIN: Thank you, Jim. Larry?

2 DR. KOSINSKI: I compiled my notes
3 here, and what I have, number one, there must
4 be a feasible, visualizable path to savings.
5 So it's got to be attainable. You've got to be
6 able to see that you can do it.

7 The time between performance and
8 payment must be reduced. Up-front payments
9 have to be part of the model. We still don't
10 have enough real participation from
11 specialists. I love the line most specialists
12 participate in ACOs are really not in the ACO.

13 Fee-for-service still has to be made
14 less desirable for the specialist and more
15 desirable for the PCP. Hybrid fee-for-service
16 capitation models need to be investigated. The
17 40 percent rule may be the way to get
18 participation. You've got to get the hearts and
19 minds. Enough of their revenue has to be at
20 risk or part of the -- and we heard 40 percent
21 a couple of times. Risk reward analysis has
22 to be realistic and consistent with the
23 business model of the practice. Multi-payer
24 alignment could be a game changer for
25 participation.

1 And then finally, and something that
2 came out near the end that I thought was cool,
3 nested PCMHs⁷⁸ may be a way to create cascading
4 accountability for chronic medical care. I
5 thought that was cool. That's what I wrote
6 down.

7 DR. LIN: Thank you, Larry.

8 And Chinni?

9 CO-CHAIR PULLURU: Great job,
10 everybody. I'm going to try to fill in some of
11 the gaps that I haven't heard yet. And one of
12 them is from the first panel. They spoke about
13 rural hospitals and the global payment and how
14 well that had worked. And perhaps that's the
15 way to sort of salvage and rescue some of the
16 hospitals that are very needed in those areas
17 right now and differentiate that.

18 One of my favorite quotes was that
19 primary care physicians have a Stockholm
20 syndrome when it comes to --

21 (Simultaneous speaking.)

22 CO-CHAIR PULLURU: -- when it comes
23 to fee-for-service. And as a primary care
24 physician who practiced, I can tell you that's

78 Patient-Centered Medical Home

1 absolutely true.

2 But I like the idea, and I haven't
3 heard this in all the time that I've been on
4 PTAC, is making the primary care payment tax
5 deductible for the patient because of the ROI
6 on primary care being \$13 to \$1. I think that's
7 an excellent idea.

8 I also love the idea of sort of a
9 carve-out, some people called it a sub-cap for
10 primary care, some people called it
11 subscription payment, but a carve-out payment
12 as a mechanism for potentially a perspective
13 type of payment to help primary care physicians
14 do what they need to do and provide that \$13 to
15 one ROI.

16 And then a few other things, the
17 technical assistance to implement programs, I
18 do think that's really important, particularly
19 in areas, you know, so we don't incentivize
20 consolidation inadvertently to programs,
21 because people just can't have access to data
22 or the technical assistance.

23 And then I think I have one more.
24 The other thing that I noted was having
25 actuarial stability. So as you look at

1 benchmarking, and you go through actuarial
2 analysis, retrospectively and prospectively,
3 and you're trying to get into programs,
4 figuring out a way that the provider systems or
5 providers have some level of actuarial
6 stability in benchmarking, and having some sort
7 of reconciliation that's quick so they can
8 actually get access to the money when they fall
9 short.

10 And then I, you know, of course,
11 Angelo, our favorite person, brought up the
12 2020 OIG rules around the flexibility in
13 waivers. And I think looking at that program
14 to say, like, hey, that was great, why aren't
15 people using it, and how do people -- how do we
16 make that more a part of the sort of connective
17 tissue of how care is delivered?

18 DR. LEE: Thank you, Chinni.

19 Josh?

20 DR. LIAO: Thanks, Walter. Really
21 great session, and I go with what other
22 Committee members have said. And many things
23 bounce around in my mind. I think, you know, I
24 return to kind of what we started with today
25 around kind of barriers to participation.

1 I kind of alluded to this idea of
2 maybe thinking about it as optimizing as
3 opposed to maximizing, insomuch as that I think
4 these population-based total costs of care
5 models, I think, can and should be improved in
6 a technical way, like PTAC is supposed to be
7 looking at to be one key offering in the
8 market. But as others have alluded to, there
9 are others that I think are really important.

10 And as I reflect on many
11 conversations leading up to this session, you
12 know, there is so much good stuff that people
13 have said. And where my mind is, it's kind of,
14 like, do I need 10 other really good things
15 added to the conversation, or are there things
16 you might take away from the conversation,
17 addition by subtraction? They're like things
18 that make sense but, if you really think about
19 it, actually don't serve us as we're trying to
20 achieve these goals with population-based
21 models.

22 And really, kind of, to me it comes
23 back down to tradeoffs. So while I really
24 appreciate a lot of the kind of concepts
25 mentioned, I think we should really stare

1 honestly and transparently at some of these
2 tradeoffs.

3 So for example, you know,
4 multi-payer, I think good for any number of
5 reasons, other people have mentioned it
6 multiple times, but it requires a simplicity,
7 right. And that simplicity though, I think,
8 has come at a tradeoff, historically, right.
9 The simplicity can be achieved with primary
10 care and ambulatory measures which is
11 predominantly what many of these models have
12 been historically, however named.

13 But we struggle with investment in
14 primary care. How can you bring that
15 simplicity to specialty care when every
16 specialist is a little bit different, and the
17 context is different? And the specialty kind
18 of load, or the dose you would need for
19 different populations is different.

20 I think we just have to recognize
21 that things that require simplicity, like
22 multi-payer alignment, will struggle when
23 you're trying to integrate sub-specialists.
24 And I think, you know, to the extent that one
25 ounce of data is better than a ton of opinion,

1 if you look at what we've done, really well
2 intended efforts over a decade in Medicare, you
3 see that with multiple models, what doesn't
4 port over to Medicaid, what doesn't port over
5 to commercial space.

6 I know some of the Committee members
7 have really focused on advancing specialty
8 models in the commercial space. Those don't
9 really carry over very easily to others as
10 well. I think that's telling us something.

11 And so the more we say multi-payer,
12 keep it simple but, yes, integrate all
13 specialists, I think that notion doesn't serve
14 us anymore. And I think three or four of these
15 meetings suggest we should do away with some of
16 that thinking to help us, you know, do both
17 things, like some of our SMEs have suggested.

18 Here's another, I'll just give you
19 one more for time. When we think about scaling
20 up participation, say within a payer such as
21 Medicare, you know, what's the tradeoff between
22 that then and the multi-payer that I just
23 mentioned?

24 Getting more people into different
25 models and one payer doesn't necessarily get us

1 wide with multi-payer. Because others have
2 mentioned today this idea of one size won't fit
3 all. So if you scale up, you are accepting
4 complexity. The table we've put forward on the
5 PCDT has got complexity in it, meaning it's got
6 multiple rows and multiple columns.

7 I think this is a feature, this is
8 not a defect in the system. But if we embrace
9 that complexity, we have to set aside some
10 degree of simplicity, right. So scaling up
11 within a payer, I think, and scaling out wide,
12 there is a tradeoff there.

13 And so I think they're just, as I've
14 been thinking through this, maybe I'll kind of
15 summarize more tomorrow, but there are three or
16 three or four or five things in my head where I
17 think expunging these ideas from our
18 conversation going forward will help us.
19 Because we heard, over many meetings, including
20 today, really nice things. But how do they fit
21 together? And I worry some don't.

22 And so I really love the
23 conversation. I think this all really helpful
24 for moving the dialogue forward. I look
25 forward to thinking more about the technical

1 pieces with the rest of the Committee members
2 and the subject matter experts. But what are
3 those ideas that don't serve us anymore that we
4 need to take off the table? That's where my
5 head is at after a really productive day.
6 Thanks.

7 DR. LEE: Thank you, Josh. And just
8 in the interest of time, I'll just make a
9 couple of really brief remarks in terms of my
10 thoughts. You know, after Jim's PCDT
11 presentation, that went really well, the
12 plateauing of participation in PB-TCOC models,
13 ACOs.

14 You know, I had said fee-for-service
15 is the real villain here. And I think that was
16 a theme that ran strongly through the sessions
17 today. Since, I think Chinni quoted Dr. Crow's
18 Stockholm syndrome of fee-for-service, or used
19 the other one, fee-for-service is the devil to
20 the PCP, all the way through, to the very last
21 session where Elizabeth said the muscle memory
22 of fee-for-service is very strong, you know.

23 And I think the idea that there are
24 viable business models that thrive under fee-
25 for-service, I think, presents a real challenge

1 to increasing participation in value-based
2 care.

3 The other thing I just mentioned
4 along those same lines is the comment by Clif
5 Gaus around how ACOs are held to stricter
6 financial performance expectations without
7 approaches like networks or utilization
8 management.

9 And I think that's also very
10 important to grasp as well. Because, you know,
11 if total cost of care models were entering into
12 a boxing ring with fee-for-service, it's like
13 we're entering into the boxing ring with one
14 arm tied behind our back. We don't have the
15 tools that Medicare Advantage plans have to
16 make these models succeed.

17 And so I just think there might need
18 to be additional considerations over time about
19 adding tools to the PB-TCOC toolbox to help
20 these models be more successful.

21 With that, I'm going to turn the
22 time over to Chinni to close us out.

23 *** Closing Remarks**

24 CO-CHAIR PULLURU: Before closing,
25 I'd like to check with the staff team to see if

1 they have any clarifying questions for us.

2 I want to thank everyone for
3 participating today, our expert panelists, my
4 PTAC colleagues, and those listening in. We
5 will be back tomorrow at 9:00 a.m. Eastern
6 Time. We will be joined by Mr. Abe Sutton, the
7 Director of Center for Medicare and Medicaid
8 Innovation, and Deputy Administrator for the
9 Centers for Medicare & Medicaid Services, who
10 will be providing opening remarks.

11 Our day 2 agenda features a panel
12 discussion and final listening session. The
13 panel discussion will focus on enhancing the
14 ability of population-based total cost of care
15 models to be competitive.

16 Then listening Session 3 will focus
17 on how to maximize participation of
18 beneficiaries in accountable care and improve
19 the sustainability of effective
20 population-based total cost of care models.

21 *** Adjourn**

22 There will also be an opportunity
23 for public comments tomorrow afternoon before
24 the meeting concludes with the Committee
25 discussion.

(Whereupon, the above-entitled matter went off the record at 5:07 p.m.)

C E R T I F I C A T E

This is to certify that the foregoing transcript

In the matter of: Public Meeting

Before: PTAC

Date: 03-03-25

Place: Washington, DC

was duly recorded and accurately transcribed under
my direction; further, that said transcript is a
true and accurate complete record of the
proceedings.



Court Reporter

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1716 14TH ST., N.W., STE. 200

WASHINGTON, D.C. 20009-7831

(202) 234-4433

www.nealrgross.com